

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24

COMMITTEE ON OVERSIGHT AND REFORM

SELECT SUBCOMMITTEE ON THE CORONAVIRUS CRISIS

U.S. HOUSE OF REPRESENTATIVES

WASHINGTON, D.C.

INTERVIEW OF: HENRY WALKE, M.D.

Friday, February 18, 2022

The Interview Commenced at 8:58 a.m.

43 Exhibits

44	Majority Exhibit No.	Page No.
45	1 - CDC Coronavirus Disease 2019	
46	(COVID-19) Meat and Poultry	
47	Processing Workers and Employers,	
48	Interim Guidance	83
49	2 - Email dated 4/27/2020 From	
50	Robert R. Redfield To Ken	
51	Sullivan, Bates	
52	HSSCV-Smith-00000877	85
53	3 - April 22, 2020 Memorandum,	
54	Site Visit	87
55	4 - April 21, 2020 Memorandum,	
56	Site Visit	89
57	5 - May 5, 2020 Memorandum,	
58	Bates HSSCV-Smith-00000898	99
59	6 - CDC Coronavirus Disease 2019	
60	(COVID-19) Interim Guidance for	
61	Communities of Faith	102
62	11 - CDC Coronavirus Disease 2019	
63	(COVID-19) Overview of Testing for	
64	SARS-CoV-2	119
65	12 - CDC Coronavirus Disease 2019	
66	(COVID-19) Overview of Testing for	
67	SARS-CoV-2 (COVID-19)	121

68 Exhibits

69	Majority Exhibit No.	Page No.
70	13 - CDC Coronavirus Disease 2019	
71	(COVID-19) Overview of Testing for	
72	SARS-CoV-2 (COVID-2) Testing	
73	Overview	133
74	15 - Email communication, Subject:	
75	FW: Testing K-12 and IHE documents,	
76	Bates commencing SSCC-0034459	142
77	17 - Email communication Subject:	
78	RE: (CUI/SBU): One MMWR COVID-19	
79	Response Early Release Scheduled	
80	for Wednesday, July 29, 2020,	
81	Bates commencing	
82	SSCCManual-000064	148
83	19 - Email communication, Subject:	
84	FW: UPDATE: One MMWR COVID-19	
85	Response Early Release Original	
86	Scheduled for July 29, 2020	
87	DELAYED to Friday, June 31,	
88	Bates commencing	
89	SSCCManual-000059	160
90		
91		
92		

93	Exhibits	
94	Majority Exhibit No.	Page No.
95	20 - Email communication, Subject	
96	RE: Request review by 9 pm:	
97	FINAL PROOF - GA camp outbreak	
98	ER, Bates commencing	
99	SSCCManual-000046	162
100		
101		
102	Minority Exhibit	Page No.
103	A - Email communication between	
104	Rochelle Walensky and Henry	
105	Walke, Subject: RE: AFT	
106	Follow-up	58

107 P R O C E E D I N G S

108 [Majority Counsel]. Dr. Walke, we're going to
109 have one more colleague who is going to join a little
110 bit later, but we can certainly get started now.

111 The Witness. Sounds good. Can you hear me
112 okay?

113 [Majority Counsel]. Good morning, Dr. Walke.
114 I want to make sure you can hear me as well. My name
115 is [Redacted].

116 The Witness. Yes, I can hear you.

117 [Majority Counsel]. As [Redacted] said, we are
118 ready to go on the record. Is that right,
119 [Redacted]?

120 [Majority Counsel]. We are.

121 [Majority Counsel]. Good morning, Dr. Walke.
122 This is a transcribed interview of you, Henry Walke,
123 conducted by the House Select Subcommittee on the
124 Coronavirus Crisis. This interview was requested by
125 Chairman James Clyburn as part of the Committee's
126 oversight of the federal government's response to the
127 coronavirus pandemic.

128 I would like to ask the witness to state his
129 full name, and spell his last name for the record,
130 please?

131 The Witness. Yes, my name is Henry Thomas

132 Walke, Jr. And my last name is spelled W-A-L-K-E.

133 [Majority Counsel]. Thank you.

134 Dr. Walke, as I mentioned briefly off the
135 record, my name is [Redacted]. And I am Majority
136 counsel with the Select Subcommittee. I want to
137 thank you for coming in today for this interview. We
138 recognize that you are here voluntarily, and we
139 really appreciate that.

140 Under the Committee's rules, you are allowed to
141 have an attorney present to advise you during this
142 interview. Do you have an attorney representing you
143 in a personal capacity with you today?

144 The Witness. I do not.

145 [Majority Counsel]. Is there an attorney
146 present representing the agency?

147 Mr. Barstow. Yes.

148 [Majority Counsel]. Would counsel please
149 identify themselves for the record?

150 Mr. Barstow. Kevin Barstow, senior counsel at
151 HHS.

152 [Majority Counsel]. And for the record, can
153 the additional staff in the room please introduce
154 themselves for the record as well? Why don't we
155 start with the Majority?

156 [Redacted], I didn't hear you. Others may

157 have.

158 [Majority Counsel]. My mistake. [Redacted]

159 for the Majority.

160 [Majority Counsel]. Thank you. And Minority?

161 [Minority Counsel]. [Redacted] for the

162 Minority.

163 [Minority Counsel]. [Redacted] for the

164 Minority. And [Redacted] is here as well.

165 [Majority Counsel]. Is there anyone else who

166 is on, who has not announced their appearance?

167 Mr. Wortman. Eric Wortman, CDC, Washington.

168 [Majority Counsel]. Thank you.

169 Before we begin, I would like to go over the
170 ground rules for this interview. As previously
171 agreed to by Majority staff and HHS staff, the scope
172 of this interview is the federal government's
173 response to the coronavirus pandemic from December 1,
174 2019 through January 20, 2021.

175 The way that this interview will proceed is as
176 follows. The Majority and Minority staff will
177 alternate asking you questions, one hour per side per
178 round, until each side is finished with their
179 questioning.

180 The Majority staff will begin, and proceed for
181 an hour. And the Minority staff will then have an

182 hour to ask questions. We will alternate back and
183 forth in this manner until both sides have no more
184 questions.

185 We have agreed that if we are in the middle of
186 a line of questioning, we may end a few minutes
187 before or go a few minutes past an hour, just to wrap
188 up a particular topic.

189 In this interview, while one member of the
190 staff may lead the questioning, additional staff may
191 ask questions from time to time. As you likely see,
192 there is a court reporter taking down everything I
193 say, and everything you say to make a written record
194 of the interview.

195 For the record to be clear, please wait until I
196 finish each question before you begin your answer.
197 And I will, in turn, wait until you finish your
198 response before asking you the next question. The
199 court reporter can't record nonverbal answers, such
200 as shaking your head, so it's important that you
201 answer each question with an audible verbal answer.
202 Do you understand that?

203 The Witness. I do, yes.

204 [Majority Counsel]. We want you to answer our
205 questions in the most complete and truthful manner
206 possible, so we are going to take our time. If you

207 have any questions or do not understand any of the
208 questions, please let us know. We will be happy to
209 clarify or rephrase our questions. Do you understand
210 that?

211 The Witness. I do.

212 [Majority Counsel]. If I ask you about
213 conversations or events in the past, and you are
214 unable to recall the exact words or details, you
215 should testify to the substance of those
216 conversations or events, to the best of your
217 recollection. If you recall only a part of a
218 conversation or event, you should give us your best
219 recollection of those events or parts of
220 conversations that you do recall. Do you understand
221 that?

222 The Witness. I do.

223 [Majority Counsel]. If you need to take a
224 break at any point, please let us know. We are more
225 than happy to accommodate you. So you know,
226 ordinarily we take a five-minute break at the end of
227 each hour of questioning, but if you need a break
228 before that, again, please just let us know. We
229 would ask, though, that to the extent there is a
230 pending question, that you finish answering the
231 question before we take the break. Do you understand

232 that?

233 The Witness. I do. Thank you.

234 [Majority Counsel]. Although you are here
235 voluntarily and we will not swear you in, you are
236 required by law to answer questions from Congress
237 truthfully. That also applies to questions posed by
238 congressional staff in an interview. Do you
239 understand that?

240 The Witness. I do.

241 [Majority Counsel]. If at any time you
242 knowingly make false statements, you could be subject
243 to criminal prosecution. Do you understand that?

244 The Witness. Yes.

245 [Majority Counsel]. Is there any reason that
246 you are aware of that you are unable to provide
247 truthful answers in today's interview?

248 The Witness. No.

249 [Majority Counsel]. The Select Subcommittee
250 follows the rules of the Committee on Oversight and
251 Reform. Please note that if you wish to assert a
252 privilege over any statement today that assertion
253 must comply with the rules of the Committee on
254 Oversight and Reform.

255 Committee rule 16(c)(1) states, "for the chair
256 to consider assertions of privilege over testimony or

257 statements, witnesses or entities must clearly state
258 the specific privilege being asserted and the reason
259 for the assertion on or before the scheduled date of
260 testimony or appearance." Do you understand that?

261 The Witness. I do.

262 [Majority Counsel]. Before we begin, do you
263 have any questions?

264 The Witness. No.

265 [Majority Counsel]. Great. Okay.

266 EXAMINATION BY COUNSEL FOR THE COMMITTEE
267 BY [MAJORITY COUNSEL].

268 Q Let me please start out by asking you to
269 provide a brief summary of your educational
270 background.

271 A Yes. I have a medical degree. I have a
272 master's in public health, and an undergraduate
273 degree in -- bachelor of arts, an undergraduate
274 degree.

275 Q Thank you. I understand that you
276 currently work for the Centers for Disease Control
277 and Prevention. How long have you worked at CDC?

278 A Since July of 2001.

279 Q Can you please briefly walk us through
280 your career path at CDC?

281 A Yes. I was hired in the middle of July

282 in 2001. And for almost the next four years, I
283 worked in the country of Jordan, supervising, running
284 a field epidemiology program that trained public
285 health staff, Jordanian public health staff in the
286 methods of epidemiology.

287 In 2004, I returned to CDC Atlanta, and then
288 ran the headquarters program for that same field
289 epidemiology program until 2011, at which time I took
290 a job -- and that was within the Center for Global
291 Health.

292 In 2011, I took a job with the National Center
293 for Emerging Infectious Diseases, NCEZID, Emerging
294 Zoonotic Infectious Diseases, as a branch chief in
295 Bacterial Special Pathogens. And then worked in that
296 position until 2019.

297 The -- January of 2019, I became the division
298 director in the Division of Preparedness and Emerging
299 Infections, and was the division director that -- the
300 division director. I was in the Center for Emerging
301 Zoonotic Infectious Diseases throughout 2019.

302 Currently, in November of 2021, I became the
303 director of the Center for Preparedness and Response
304 at CDC, and currently in that role.

305 Q And was there any position between the
306 division director of Preparedness and Emerging

307 Infections and your current role?

308 A Well, I officially was in the Division
309 of Preparedness and Emerging Infections until my
310 current role. I certainly was the incident manager
311 for over a year, over the COVID response, during that
312 period of time, as well as the incident manager for
313 the Ebola DRC response from July of 2019 until early
314 January of 2020.

315 Q So in the period when you were incident
316 manager in connection with the coronavirus response,
317 were you also in your position as director of the
318 Division of Preparedness and Emerging Infections?

319 A Yes, that is correct.

320 Q Thank you. Generally speaking, what
321 were your responsibilities in the role of director of
322 the Division of Preparedness and Emerging Infections
323 before the coronavirus pandemic?

324 A That division is responsible for
325 staffing an emergency operations center during a
326 response, as well as preparing for any biological
327 terrorism event or emerging infectious disease in the
328 country.

329 So that division has a laboratory response
330 network as part of the branch. So that's
331 preparedness on the laboratory side, to be able to

332 detect any biological agent that's a threat.

333 Also had a branch that was engaged in response
334 and operations management, in connecting with the
335 interagency related to a threat, as well as had
336 another branch that -- called the ELC Group that --
337 epidemiology and laboratory capacity cooperative
338 agreement that put out a lot of money to the states
339 to help the states build up capacity for laboratory -
340 - on the laboratory side, and their epidemiology
341 workforce. So that was the general mission of the
342 division.

343 Q Did your responsibilities in that role
344 change over the course of the pandemic?

345 A Right. Not really. You know, I was
346 only really there for six months, from the beginning
347 of January of 2019 until July of 2019. And then I
348 rolled on to the DRC Ebola response for six months.
349 And then took a month off in January.

350 And then rolled back on the coronavirus
351 response and the COVID response in February of 2020.
352 So, yeah, I managed the staff and vacation times,
353 signed off on various things, but a lot of the staff
354 actually in that division were in the responses.

355 Q In that position or role of being
356 director of the Division of Preparedness and Emerging

357 Infections, who did you report to?

358 A I reported -- my direct supervisor was
359 Rima Khabbaz, who was the center director of the
360 National Center for Emerging and Zoonotic Infectious
361 Diseases.

362 Q Understood. And who were the types of
363 folks who reported to you? I imagine there were
364 many.

365 A Yeah, I had four branches, so the branch
366 chiefs reported to me, policy person, communication
367 person. So seven to probably eight or nine people.

368 Q And in that position, did you regularly
369 interact with anyone outside of the agency?

370 A Yes. In that position, certainly we
371 were funding partners, to work on infectious disease
372 preparedness, including APHL, the American Public
373 Health Association on the laboratory side; CSTE, an
374 association for epidemiologists; IDSA, the Infectious
375 Diseases -- infectious doctors association.

376 So in those partners, yes. And then certainly
377 a lot of interactions with ASPR within HHS.

378 Q So I believe you said it was in July
379 that you took on the role of incident manager, right?

380 A The DRC -- the Ebola response, that's
381 correct, of 2019. So just trying to -- which

382 incident manager? I was incident manager --

383 Q Understood. In July 2020, the role in
384 connection with the coronavirus pandemic.

385 A That's right. That's right. So I was
386 the incident manager July 1 of 2020.

387 Q And just so I don't conflate the titles
388 again, what is the accurate way to distinguish those?
389 In July 2020, the title was incident manager of?

390 A Of the coronavirus -- the COVID-19
391 response.

392 Q Okay, great. Thank you. So generally,
393 what were your responsibilities as incident manager
394 of the coronavirus response?

395 A The incident manager directly reports to
396 the agency director, at that time Dr. Redfield. The
397 incident manager pretty much is a manager of the
398 whole response, and so sets out the priorities of the
399 response, manages the day-to-day operations of the
400 response, manages the budget, and is basically the --
401 is in the driver's seat, in terms of this large CDC
402 response and management operations, and review of
403 scientific materials.

404 And also an important part is the interaction
405 with the interagency, representing the CDC response
406 on interagency discussions related to SARS-CoV-2.

407 Q That's really helpful, and a lot of
408 information there that generally I'm interested in.
409 You mentioned that you reported to the director, and
410 that was Dr. Redfield. How often were you
411 interacting with him in that role?

412 A Daily. He would call me, I would call
413 him. We had scheduled meetings once a day. Probably
414 two or three times a day, we would talk, yeah.

415 Q And it's my understanding that there was
416 a broader or larger incident management structure; is
417 that right?

418 A Yeah, that's right. So I was the IM.
419 And underneath me were deputy incident managers.
420 There was a principal deputy incident manager, and
421 then several -- and it's evolved over time -- several
422 deputy incident managers. And below them, there were
423 task forces and task force leads.

424 So there were anywhere from seven to nine task
425 forces that were focused on particular aspects of the
426 response, including worker safety or hospital
427 infections, or community mitigation issues. So the
428 response was large, almost 2,000 people.

429 Q Wow. I'm sure a difficult question to
430 answer, but is there a way to give a sense of sort of
431 the day-to-day work of the incident management team

432 or the incident management structure?

433 A Right. So, you know, we have -- we put
434 together these priorities for the week, priorities
435 for the month, priorities for the next 90 days. And
436 so we have overarching goals, in terms of this week,
437 we're going to push out and try to finish up guidance
438 X and/or we've got these three publications that are
439 coming out.

440 There's also a potential -- you know, the
441 director's going to be going out in front of media,
442 so we have a plan for the week. And then sort of an
443 overall strategy for several weeks.

444 And the day-to-day was really a lot of meetings
445 with either the director, discussing particular
446 topics of the day, any concerns, what was happening
447 with the pandemic. And then a series of meetings
448 with the various task force leads, the deputy
449 incident managers.

450 We had scientific sessions two or three times a
451 week to try to dig in to some of the issues that --
452 foreign issues, technical issues related to the
453 response. And then had standing meetings really with
454 the interagency, with ASPR or NIH. So a busy, busy
455 time.

456 Q I can only imagine how busy it must have

457 been. You touched on the intergovernmental or
458 interagency aspect of the work, which I was curious
459 to ask about. Can you generally talk a little bit
460 more about that interaction? I know you mentioned
461 ASPR and HHS. Was there any other interagency
462 coordination?

463 A Well, during that time, we had an 8:30
464 meeting every day. The Secretary's call, HHS
465 Secretary's call. That -- and on that call was the
466 Secretary, the ASH, the Assistant Secretary, Brett
467 Giroir, the Surgeon General, Jerome Adams, Francis
468 Collins, head of NIH, Dr. Redfield, myself, and some
469 of the Secretary's staff. For example, Michael
470 Caputo was on that call sometimes.

471 And so that call really was more NIH, the
472 Secretary, the ASH, CDC, and of course, FDA was on
473 that call as well, either the agency director or his
474 deputy. So that 8:30 call, we ran through the
475 various issues. Everyone reported out. And that was
476 a daily 8:30 call for 30 minutes. So that was where
477 hot topics were discussed, or follow-up calls were
478 planned, et cetera.

479 Q Do you recall about when those calls
480 started, in terms of time? What time of year was it,
481 January, February, March, or maybe until July when

482 you were in that role?

483 A Yeah, they were already in place when I
484 rolled on in July. So I'm not sure when they
485 started, frankly.

486 Q Do you recall if they continued
487 throughout the rest of the year?

488 A They did. We had that call every day
489 when I was incident manager during this time period,
490 yes.

491 Q Sure. Was there someone who sort of led
492 the call or took the lead every day, or was it more
493 of an ad hoc approach?

494 A Well, the Secretary -- it was a call for
495 the Secretary. The Secretary was on. You know, we
496 had the Coast Guard at some point became engaged.
497 And I forget the admiral's name, but the Coast Guard
498 was supporting the Secretary in some of the work that
499 was going on. And this admiral in the Coast Guard,
500 whose name I'm embarrassed, I forgot, sort of managed
501 that call. So he would start it off. We had a set
502 people that would go around the room. He would have
503 high-level comments at the top, give the Secretary a
504 moment to say something. And then we would go to
505 each of the agencies and report out.

506 Q Were there --

507 A And back and forth, in terms of
508 questions and answers.

509 Q Sure. Were there, do you recall,
510 agendas for those calls?

511 A There were agendas. But the agenda
512 really was, you know, Secretary remarks, CDC, NIH,
513 FDA, you know, Surgeon General, the ASH. It was just
514 really -- that was the agenda. That -- it was
515 really, you know, who was going to speak at what
516 time. And we were -- after months of doing this, we
517 all sort of knew who started and who was next, yeah.

518 Q Sure. Did you do anything to prepare
519 for those calls, or was it more sort of you had your
520 institutional knowledge based on whatever you were
521 working on the previous day, and so you sort of just
522 relied on that?

523 A Well, you know, what I did at the top of
524 the call was to give sort of the status of the
525 pandemic, which was cases, hospitalizations, anything
526 new that was happening from the epidemiology
527 standpoint.

528 And then point out if there were any MMWRs that
529 were coming out that day, or that were coming -- that
530 had come out really the day before, and report out on
531 sort of those significant findings.

532 So my prep was basically my staff put together
533 a one or two-pager that had the highlights of both
534 the pandemic, where the latest increase in cases, or
535 where the latest outbreaks were. And then, you know,
536 a summary of the MMWRs.

537 And I would read the MMWRs to refresh my memory
538 before I got on that call, because we would get into
539 some technical back and forth about these
540 manuscripts.

541 Q That's helpful to know. And I
542 definitely want to ask a little bit more about MMWRs
543 in a minute. I guess in terms -- beyond this call
544 that you mentioned, was there any other interagency
545 coordination that was happening on a regular basis
546 while you were incident manager of the coronavirus
547 response?

548 A Well, I mean, there were a lot of
549 different activities going on. For example, our
550 chief medical officer was engaging with NIH around
551 long COVID. And so that was sort of a working group
552 that was happening in the background.

553 Obviously, on the vaccine side, the vaccine
554 task force was very engaged with NIH, and BARDA, and
555 Operation Warp Speed, related to vaccine
556 manufacturing and distribution, guidance related to

557 vaccines.

558 So I guess within -- there was a lot of --
559 depending on the topic, our worker safety group was
560 engaged with the Department of Labor, related to
561 guidance that potentially might be coming out. So
562 there were -- depending on the topic, we were trying
563 to coordinate across the interagency and in many
564 ways.

565 Q Thank you. I know you mentioned that
566 you interacted on a daily basis with the director. I
567 wondered if you had any interactions ever with
568 Dr. Anne Schuchat?

569 A Yes, absolutely. I had a weekly meeting
570 with Anne, Dr. Schuchat. And then over time, it
571 evolved into twice weekly meetings, or 30 minutes
572 one-on-one, just to talk about any particular issues
573 in the response. I saw her as a mentor and would
574 reach out to her as needed, if there were any
575 particular topics, or she would reach to me and we
576 would talk about some technical, scientific issue or
577 something she had recently read. So we were in
578 contact quite a bit.

579 Q What about -- and I want to ask about a
580 few others within the agency. What about Kyle
581 McGowan?

582 A Not as much with Kyle. You know, Kyle
583 was -- I knew Kyle. Kyle -- we would interact a
584 couple times a week, potentially. Kyle was -- when
585 we'd have a conversation with Dr. Redfield about a
586 particular topic, sometimes Kyle would be on the
587 phone. So not as much interaction with Kyle, but I
588 knew him. We talked once or twice a week.

589 Q And what about Amanda Campbell?

590 A Amanda, again, not as much. You know,
591 our principal deputy incident manager that was more
592 engaged, because Amanda was part of sort of a
593 clearance in sharing information with HHS, if I
594 remember correctly.

595 So Amanda was part of the chain that was
596 basically engaging with HHS, and saying -- you know,
597 trying to coordinate, here's what's coming out of
598 CDC, et cetera. So we kept Amanda in the loop, and I
599 talked -- she would call me about, you know, what's
600 coming up tomorrow, what about this topic, when are
601 you releasing this? That sort of thing. But a lot
602 of her engagement was really with my principal deputy
603 incident manager.

604 Q And apologies if you already mentioned
605 who that was, but could you remind me who that was?

606 A Yeah, it was Michael Beach.

607 Q Okay. One other person at the agency
608 that I'm curious whether or how often you interacted
609 with is Nina Witkofsky?

610 A Yeah. So after Kyle left, Nina came.
611 And I interacted with Nina quite a bit. Again,
612 Michael -- Michael Beach, my principal deputy
613 incident manager, interacted with Nina much more than
614 I did. But Nina, like Kyle, was on the phone with
615 Dr. Redfield frequently, and was following up on
616 issues. I would receive a call from Nina,
617 Dr. Redfield wants to talk about this or -- so we
618 would -- she would get Dr. Redfield and I together
619 or -- so, yeah, I had probably daily or every other
620 day conversations with Nina.

621 Q So during your role as incident manager
622 for the coronavirus, did you interact ever with
623 anyone at the White House?

624 A I did. I had -- well, Dr. Birx was the
625 primary person I interacted with. We had a standing
626 meeting at 8:00 on Mondays, I believe, weekly, where
627 Dr. Birx and I would have a conversation and talk
628 about the MMWRs that had come out in the past week.
629 She would give me her thoughts about the pandemic,
630 and where things were going, and where the priorities
631 were.

632 And she was traveling a lot, you know, around
633 the country, and would hear things I wasn't hearing.
634 So she would share those concerns with me. So I
635 checked in with Dr. Birx once a week. I certainly
636 was on calls with her with Dr. Redfield, you know,
637 probably another once or twice a week as well. So in
638 terms of the White House, it was Dr. Birx.

639 You know, we got -- in the middle of the
640 summer, there were issues related to testing
641 shortages. And Brad Smith also was working with
642 Dr. Birx at the White House. And so I was engaged
643 with Brad Smith, trying to coordinate state
644 coordination around laboratory reagents and testing.
645 I think Brad and then Dr. Birx, and who else.

646 You know, those are probably the primary folks.
647 Steve Redd was a CDC employee, but detailed to
648 Dr. Birx's office. So Steve Redd and I would have
649 conversations as well. And then Daniel Gastfriend
650 also was on Dr. Birx's team. And so Daniel
651 Gastfriend and Steve Redd and Chuck. I'm trying to
652 figure out Chuck's last name. I apologize. They
653 were all sort of on Dr. Birx's staff.

654 And so as things were ramping up, and we were
655 having outbreaks in various states, and we were
656 looking across the country where the hot spots were,

657 we started doing the hot spot surveillance, to try to
658 figure out in various counties where cases were going
659 up. And then reaching out to counties and states to
660 see if they needed additional assistance, staff on
661 the ground, laboratory reagents, whatever it was.

662 And so there was a moment during the summer --
663 no, that was actually during the spring of 2020,
664 where I was having daily engagements with that group,
665 the hot spotting group, trying to figure out how we
666 could better help the states. So that was going on
667 for several months, maybe around the March to May
668 timeline.

669 Q And so that was before you were in the
670 role of incident manager?

671 A That's right.

672 Q And so you did have some focus on the
673 pandemic response in that window?

674 A Yeah. To be clear, I ended my -- the
675 Ebola response in early January of 2020. I took
676 January off. In February of 2020, I was asked to
677 deploy to Travis Air Force Base to lead the
678 repatriation efforts, from a CDC perspective, at
679 Travis Air Force Base.

680 I was there a month. And then in March, I came
681 back, took a couple weeks off, and then rolled back

682 on the response as the lead for a task force that was
683 focused on state, tribal, local, territorial, STLT --
684 they call it the STLT task force, which is focused on
685 trying to assist states with staffing deployments,
686 outbreak investigations, connecting the states back
687 to the rest of the response. Pretty much one-stop
688 shop for state and local needs.

689 So I led that task force from mid-March until
690 the end of June, until I rolled on as the incident
691 manager in July 2020.

692 Q That's really helpful context. Thank
693 you. And I do want to ask you a few questions about
694 those experiences. Just really quickly wanted to
695 finish up a couple questions that you made me think
696 of, based on some of the answers you gave on the
697 incident management structure, if possible. The
698 first of which is, was there any work product that
699 the incident manager structure or team was putting
700 out on a regular basis, whether public or internal
701 guidance, or agency-wide updates, anything like that?

702 A Well, we had -- within the response, we
703 had these priorities of the week that were -- we had
704 the task forces, you know, put forward their
705 priorities. And then we -- I reviewed those. And we
706 disseminated those within the -- across the response.

707 We had an incident action plan, an IAP that at
708 a high level, laid out the requirements for notifying
709 the director of critical information requirements and
710 goals and objectives of the response.

711 And so that incident action plan was updated
712 monthly. And so that was something we disseminated
713 to the whole response. We also had a sitrep at a
714 high level that was disseminated weekly, in terms of
715 where the -- what was happening within the response.
716 There were those type of documents.

717 And then daily, we had an incident management,
718 IM, meeting, where the task forces on a rotating
719 basis would report out to the much larger CDC
720 community about what was happening in the response.
721 So we had these incident manager meetings, IM
722 meetings that happened daily for about an hour, which
723 was basically a series of PowerPoint slides that went
724 through the highlights of progress on the various
725 task forces.

726 So, yeah, so there was a number of documents
727 that were shared to sort of make sure everyone was
728 aware in a big response like that, what other parts
729 of the response were doing.

730 Q That's helpful. Thank you. The daily
731 incident manager meetings that you referenced, I'm

732 assuming that you sort of led those. Is that
733 accurate?

734 A I did. I did. So we had them at 10:00
735 or 10:30. We changed the time. Yeah, so that was a
736 time where -- and again, we were remote most of that
737 time, so I would sit in the emergency operations
738 center, myself, and my principal deputy. And then
739 people would go through the slides remotely on Zoom.
740 And then I would ask questions back and forth,
741 clarify issues. Yeah, it was -- yeah, so that was a
742 daily meeting.

743 Q And was there any type of agenda that
744 was prepared for those meetings?

745 A Well, we had a rotation of who was going
746 to report out, you know, Monday, Wednesday, Friday,
747 the epi team would report out. On Tuesday, Thursday,
748 the lab team. So we had that type of agenda. And
749 these products were cleared. It was a pretty big
750 audience on the line. Sometimes between 500 to 100
751 to 1,000 people. They were listening in.

752 And so we tried to keep that presentation very
753 tight, very scientific, very technical. And it was a
754 sharing of information. So in that way, I mean,
755 there wasn't a, quote, agenda. The expectation was
756 that people would report out on developing events,

757 you know, the most recent research, the most recent
758 developments, or guidance or manuscripts that had
759 recently been published. And kind of take the
760 audience through that. So more information sharing.

761 I mean, we had that IM meeting that was huge,
762 very big. And then we also had, as I think through
763 it, a regular smaller meeting, we call it the 5:30
764 meeting, where we would pull together the task force
765 leads and the deputy incident managers, and update --
766 you know, we would run through the highlights -- more
767 operational technical meeting, and again updates.

768 So that was a 30-minute meeting -- 30,
769 40-minute meeting on task -- any issues, sharing
770 across the task force leads. So that was another way
771 of sharing. So multiple ways to sort of make sure
772 everybody was on the same page.

773 Q I'm appreciating your point about the
774 number of meetings as you're walking through them.
775 With regard to the daily incident manager meetings
776 that happened in the morning, not the 5:30 one, you
777 noted that people often gave presentations. Were
778 those usually oral, or was there any type of written
779 or PowerPoint component to those?

780 A There were PowerPoint slides that were
781 presented.

782 Q And as you were describing the various
783 distribution, you mentioned that there was one that
784 was weekly. And I apologize, I missed who that went
785 to, or what exactly that distribution was.

786 A It was an incident action plan that I
787 was discussing. And that was internal to CDC. So it
788 was disseminated across the response. We would
789 also -- I would share the incident action plan, high
790 level goals, mission, you know, what -- so that was
791 also shared with the SOC, the HHS SOC.

792 There was a weekly -- and it may be the one
793 you're talking about -- weekly priorities document
794 that was also prepared. And again, that was internal
795 to the response. And so the task forces would
796 discuss -- would put on paper what their weekly
797 priorities were. And then we would -- that also gave
798 me visibility on some of the actions that were
799 happening across the response. I would review those
800 priorities. And then we would share those across the
801 response.

802 Q That's helpful. Thank you. And I know
803 you've mentioned priorities quite a bit. I'm
804 curious, when you started as incident manager, what
805 were your immediate priorities, or what was the focus
806 of your work?

807 A There's a couple things here. One is,
808 you know, July, when I started, one of the roles of
809 the incident manager is to maintain the resiliency
810 and the health of the responders. So that's the
811 primary role, especially -- we had been at it for
812 maybe six months at that point, almost six months.
813 And so that was one of my objectives, to make sure of
814 the health and resiliency of the CDC staff.

815 It's a big response, you know, over 2,000
816 people, a lot of things happening. So making sure
817 that we weren't duplicative, that people who were
818 coming into the response had actual -- a lot of
819 management issues -- actually had roles and
820 responsibilities. A lot of recruitment as well,
821 making sure we had good leadership in the response to
822 lead the task forces. So a lot of the IM role is
823 management of leaders, recruitment, resiliency.

824 From the technical side, we were -- had come
825 through -- we were still engaged in a lot of the work
826 that was -- hot spots around the country, trying to
827 think through what our next guidance might be,
828 preparing for -- when I came on in July, school
829 opening in the fall was coming. So certainly school
830 guidance was top of mind.

831 Our testing guidance was an area that we were

832 particularly interested in. So, yeah, I mean, and
833 it's a pretty dynamic situation. So, you know,
834 day-to-day, I think, priorities or week-to-week
835 priorities would change. But we put out a lot of
836 guidance. So my role as the IM was really to manage
837 the big response, to make sure I was hearing from
838 Dr. Redfield what his priorities were on a daily
839 basis, hearing from the response what their
840 priorities were, what they were hearing from
841 partners, and from the task forces.

842 And then trying to pull that together, and
843 drive the response forward in the most efficient
844 manner as possible. So the IM role is really a lot
845 of great, incredible, technically, scientific astute
846 people. And the response is to try to keep the train
847 rolling, and making sure that I'm hearing priorities
848 from our director, and hearing issues around --
849 throughout the response.

850 Q That makes total sense. I want to come
851 back to the sort of early days of the pandemic that
852 you referenced before. And specifically, I wanted to
853 confirm that what you said is that in January of
854 2020, you were effectively not working on the
855 coronavirus pandemic response for various reasons,
856 including that you were -- I think you said on

857 vacation; is that right?

858 A Yeah, that's right. I wasn't -- I think
859 I rolled off of DRC January 4th or 5th. And then
860 didn't come back on to the COVID response until
861 February 1st.

862 Q And when you came back on February 1st,
863 you mentioned that you were doing repatriation
864 efforts. Was that -- did you say at Travis Air Force
865 Base?

866 A Travis Air Force Base in California,
867 yes.

868 Q In California. Okay, thank you. Can
869 you tell us a little bit about what those efforts
870 entailed?

871 A Right. So it was a large effort. I was
872 leading multiple bases that were engaged. We were
873 repatriating citizens -- residents of the U.S. back
874 from China, from Wuhan, into the U.S. And there was
875 certainly concern around transmission and
876 protecting -- making sure those citizens and
877 residents were safe, and were safely repatriated to
878 the U.S., while at the same time a desire to --
879 because there were so many unknowns, to put them in
880 quarantine for a period of time, to ensure that there
881 was no transmission to the surrounding community in

882 the U.S.

883 And so multiple planes came in from Wuhan, four
884 or five of them landed at Travis Air Force Base. And
885 we basically -- CDC staff was there, which I led,
886 along with the U.S. Marshals Service, and ASPR
887 representatives. And the other HHS entities were on
888 the ground, basically receiving these travelers as
889 they came off the plane. Running them through
890 screening in a large warehouse. And then
891 transferring them to a hotel, where they were
892 monitored for 21 days for signs and symptoms of
893 infection.

894 So my role really was to provide that public
895 health input, the expertise to the rest of the HHS
896 staff, and manage that repatriation event. So we
897 received passengers from Wuhan. And then around the
898 middle of the month in February, we also received
899 passengers from the Diamond Princess. That was the
900 cruise ship that was parked off of Japan, I believe.
901 There was a repatriation of passengers from the
902 Diamond Princess that also came in to Travis.

903 Q That's interesting. Thank you. How did
904 you come to work on those efforts?

905 A I am known to be -- run to the fire. I
906 was a CDC leader. February 1st, I got a call from

907 the response saying that there's a plan in place to
908 repatriate citizens and residents from Wuhan. We're
909 calling senior leaders to lead these teams in
910 multiple airports. There's one in Texas, Nebraska,
911 Southern California, and Travis. And they asked me
912 to deploy. So that's how I came to be at Travis.

913 Q Thank you. It may not apply directly to
914 you, but in terms of others who were working on the
915 ground, was there any training that was provided or
916 given, in terms of infection prevention or control or
917 emergency response, or anything like that?

918 A Yeah, we had our infection --

919 Q We might have lost you for a minute
920 there. At least it's frozen for me.

921 [Transmission interference.]

922 (Recess.)

923 [Majority Counsel]. We can go back on the
924 record.

925 BY [MAJORITY COUNSEL].

926 Q And I think to just pick up where we
927 left off, you were telling us about the training that
928 was provided to those who were working on the
929 repatriation efforts at Travis Air Force Base.

930 A Yeah, that's right. So our infection
931 prevention experts, we had a couple of them on the

932 CDC team. We all deployed together. And then the
933 staff that was going to interact with the passengers,
934 starting from the time they landed on the tarmac and
935 the door opened, and everything from when they were
936 received and greeted coming down the stairs, through
937 going through a whole screening process within the
938 hangar, and over to the hotel.

939 We had our staff provided PPE, as well as
940 training to all the staff members, went through their
941 roles and responsibilities as they escorted the
942 passengers off the plane and through our screening
943 process. So we provided PPE and we provided
944 training.

945 Q And when you talk about PPE that was
946 provided, you mean to the staff or to the citizens
947 being repatriated?

948 A The citizens who were repatriated had a
949 mask on. They had a surgical mask on when we
950 received them. So this was to the staff. The staff,
951 the CDC staff, the ASPR staff, HHS staff that were
952 there to basically assist the passengers.

953 Q To your knowledge, was there any delay
954 in providing that training to the folks who were on
955 the ground at Travis Air Force Base? I guess what
956 I'm asking is, was that training given to them on day

957 1, or was it later in the tenure of their role there?

958 A We gave training -- we did -- I don't
959 remember what day it was, but the passengers --
960 airplanes started arriving early in the morning. We
961 did a walkthrough and training the day before. Got
962 all the staff that were going to participate, and
963 there were over a hundred of them doing various
964 roles. Divided up the roles, and then gave people
965 training, depending on what their roles were.

966 And so, yeah, that training in the hangar was
967 given the day before everyone arrived, as I remember.
968 And then we actually had infection prevention
969 experts, our two experts roaming around the hangar
970 every time we did this, every time planes would land,
971 to make sure that our infection control practices
972 were being followed.

973 So there was this initial training. And then
974 there was supervision of the various roles during the
975 time. And then as new people would come in and out
976 from that team, the CDC team, or the HHS team, or the
977 Federal Marshals, or whoever, we had training
978 sessions throughout the whole month that I was there.

979 Q So I think you said earlier, and please
980 correct me if I'm wrong, that you worked on those
981 efforts for a couple weeks into February, or even

982 maybe into late February; is that right?

983 A Yeah, it was a month. It was probably
984 towards the end of February. I was there at least
985 three, three-and-a-half weeks.

986 Q By late February, what was your
987 assessment of the risk posed by the virus to the
988 United States?

989 A I mean, we were concerned. And I was
990 really focused on doing this one thing, which is
991 helping these passengers come in, get through the
992 quarantine processes, and then get them on a bus to
993 go home. And so there was a lot happening in the
994 bigger sphere.

995 So the real concern was around transmission,
996 incubation period, was it days, 14 days, 21 days?
997 And then, obviously, we didn't know a lot about
998 asymptomatic transmission. And so at the time, we
999 thought that the role of asymptomatic transmission
1000 was minimal, or there wasn't a lot of asymptomatic
1001 transmission.

1002 People without symptoms could transmit
1003 SARS-CoV-2 to someone else. So we were learning.
1004 And studies were going on, and we were learning from
1005 China and other places. So certainly we didn't know
1006 what we didn't know, but we were -- there was still a

1007 time. That's why we did the whole thing. There was
1008 still this idea that maybe potentially, we could
1009 contain the spread of SARS-CoV-2 in the U.S. by these
1010 repatriation and quarantine efforts.

1011 Q I appreciate your point that we didn't
1012 know what we didn't know. But with what we do know
1013 now about the virus, do you think more could have
1014 been done at that time to prepare the public for what
1015 was coming?

1016 A Well, of course. I mean, if we knew
1017 that asymptomatic spread was a significant part of
1018 transmission, that people were -- two days before
1019 were able to transmit. But, you know, we just --
1020 it's hard to say. It's really hard to look back, and
1021 we were operating on the best evidence that we had at
1022 that time. So I wouldn't want to speculate too much
1023 there.

1024 Q Considering what we know now, were there
1025 things CDC could have handled differently at that
1026 time?

1027 A It was a lot of unknowns, a lot of
1028 anxiety around this new emerging virus. And we were
1029 trying to -- I mean, communication is the key here,
1030 telling the public what we know, and what we don't
1031 know. So you can always communicate more.

1032 And so I think that's always, in every event,
1033 you look back and think, well, how could we have done
1034 this better? So -- but again, I think we were -- it
1035 was fast-moving, a lot of unknowns. And I hate to
1036 critique too much, looking two years -- it's really
1037 been two years since that time. We've learned a lot.

1038 Q So I think that brings us to about March
1039 2020, if I'm not mistaken. And you mentioned that
1040 you were working on a state and local, tribal task
1041 force, if I have that correct.

1042 A Yes.

1043 Q I wonder if you could tell us a little
1044 bit more about what those efforts entailed.

1045 A We had a -- first of all, we were
1046 deploying a lot of CDC staff out to the states. They
1047 were asking for technical assistance, for more
1048 epidemiologists, more data analysts to help them with
1049 analyzing their data, as well as staff, boots on the
1050 ground, to help with investigations in a lot of
1051 different settings, mostly congregate settings.

1052 We had, as you remember, a lot of outbreaks in
1053 nursing homes, a lot of deaths in nursing homes. And
1054 we were intensely interested in not only helping the
1055 states, but also trying to learn about transmission
1056 in these various settings.

1057 So the task force that I led, one of the things
1058 they did was to coordinate with the states. We had
1059 these state liaisons who would work with the states,
1060 try to understand what their challenges were, what
1061 their needs were, and if they needed staff on the
1062 ground, or they were having trouble with shortages of
1063 laboratory supplies, or issues related to
1064 understanding our guidance or clarification.

1065 So we had these state liaisons who pretty much
1066 was an one-stop shop for any state concerns. And so
1067 we connected them to the rest of the response. So if
1068 there were questions around our infection control
1069 guidance, we would reach across that task force, and
1070 set up a call.

1071 If there was a concern around how to
1072 operationalize our guidance, whether it's in the
1073 worker safety, or whether it's in a school setting,
1074 we would set up a call and bring those SMEs on. A
1075 big thing we did was coordinating deployments. We
1076 sent CDC staff to long-term care facilities, to
1077 correctional facilities, to homeless shelters, trying
1078 to help the states, but at the same time, also try to
1079 learn about transmission in these various settings.

1080 We sent a lot of staff to meat and poultry
1081 processing facilities, because they had a lot of

1082 outbreaks in those settings. And -- yeah, so we
1083 internally recruited teams of people, CDC staff,
1084 organized them, made sure they had the right balance
1085 of SMEs, listen to the states, and organize these
1086 deployments for weeks at a time. And then set up
1087 calls with the field teams with our subject matter
1088 experts, to try to -- with the states, to try to
1089 coordinate, provide technical assistance, et cetera.

1090 So very busy around the congregate settings,
1091 deployments, listening to the states. We also were -
1092 - as I said, a lot of hot spots, a lot of different
1093 counties and jurisdictions were having increasing
1094 cases. And we were constantly reaching out to those
1095 counties and states to see if they needed any
1096 additional assistance.

1097 So we also did a lot of tribal work. There
1098 were outbreaks in tribes. We had a tribal unit
1099 section, Navajo, big outbreaks in tribal lands. So
1100 we were supporting them, those outbreaks, with staff
1101 and technical assistance. So that was kind of big
1102 picture, what the job was. And mostly managing,
1103 coordinating, listening to the states, making sure
1104 they were connected to the right subject matter
1105 experts.

1106 Q That's really helpful. Thank you. Were

1107 you ever -- I guess, were you doing anything with the
1108 information that you were collecting from the states,
1109 or was it more -- the flow was the other way, you
1110 sending support and information to the states?

1111 A Yeah, I mean, we -- yeah, it was more us
1112 providing support to the states. Listening to the
1113 states, trying to understand what their needs were,
1114 and -- yeah, it was us trying to provide service.
1115 And then trying to understand where their gaps were.

1116 Certainly if we had a number of different
1117 states with outbreaks in nursing homes, or schools,
1118 or whatever it was, we then would take that
1119 information and try -- if we get three different
1120 jurisdictions asking about how do we complement -- or
1121 what do we do in schools, or what do we do in meat
1122 packing facilities, then we try to look at our
1123 guidance, and say, okay, we need to update the
1124 guidance. There's a lot of demand out there for
1125 understanding, you know, how to be safe in these
1126 various settings.

1127 And so we would both provide that assistance to
1128 states, but also try to -- at a higher level, try to
1129 understand in multiple jurisdictions what their
1130 technical -- their guidance needs were. And then we
1131 would try to put out new guidance.

1132 Q Thank you. I want to discuss some CDC
1133 guidance documents in more detail, but before we do
1134 that, I would like to understand a little bit about
1135 how CDC develops its public-facing guidance. Could
1136 you briefly summarize the process for developing
1137 public health guidelines or guidance documents at
1138 CDC?

1139 A Usually the initiation of a guidance
1140 would start within a task force, within subject
1141 matter experts, whether that was infection control,
1142 the correctional facilities group, or the school
1143 group. In the beginning, we knew that people would
1144 need guidance in health care facilities. A lot of
1145 people were sick, and a lot of health care
1146 professionals wanted to know. This is an obvious
1147 issue that we needed to put out quick guidance around
1148 protecting health care professionals.

1149 So there's some obvious things that were worked
1150 on in the very beginning right away. But guidance
1151 normally starts within a task force. And the
1152 initiation of that guidance can come -- as I've said,
1153 they're hearing a lot of input from the states, a lot
1154 of concerns from the states around, we need guidance
1155 in this particular area. That subject -- that group
1156 of subject matter experts would draft guidance. That

1157 guidance would clear the task force, would be
1158 debated, discussed within the task force, would be
1159 cleared by the task force lead.

1160 And then we have an extensive clearance
1161 process, where the document would go through CDC
1162 clearance from the task force to the deputy incident
1163 managers, and across multiple task forces.

1164 So school guidance, for example, would need to
1165 be cleared not only by the school group, but also by
1166 the epidemiology group. And touched on by the
1167 laboratory. So cross-cleared across multiple task
1168 forces. Then it would go to the deputy incident
1169 manager for clearance. And then it would go to the
1170 IM or the principal deputy incident manager for
1171 clearance. And then, ultimately, would land on
1172 Dr. Redfield's desk. And Dr. Redfield would review,
1173 give any -- highlight any concerns, or would clear
1174 it. And then we would post.

1175 [Majority Counsel]. And I just want to note, I
1176 think we're at about our hour. I just have a couple
1177 last questions on this guidance point. So if
1178 possible, I'll just finish those up briefly, and then
1179 we can take a break and switch sides, if that works
1180 for everyone.

1181 BY [MAJORITY COUNSEL].

1182 Q So did that process that you just
1183 described change at all over the course of the
1184 pandemic?

1185 A That was the -- that was, and is the
1186 process still. The clearance process at CDC up
1187 through the director, as I said, remains the same.

1188 Q You mentioned that the director
1189 reviewed, as part of the clearance process. Does HHS
1190 review guidance as part of the clearance process?

1191 A Usually HHS is given a heads up that
1192 we're working on guidance. And our guidance,
1193 especially if we're going to release new guidance,
1194 you know, that may be controversial or the public has
1195 a lot of interest in, you know, CDC -- HHS would have
1196 a heads up that this guidance was coming. And for
1197 communication purposes, sometimes that guidance --
1198 that final draft was shared with HHS.

1199 Q And when you said heads up, if it wasn't
1200 a draft that was shared, was it just like a summary
1201 or something else?

1202 A A summary. We put out a lot of
1203 guidance. And you know -- and so usually it was a
1204 running list of, here's what's coming out this week,
1205 here's -- so to let -- to make sure HHS was aware of
1206 that, and there were no surprises, that we were

1207 working on this guidance, or we were anticipating
1208 that this guidance would be released on X date. So
1209 there was a running list that was shared between HHS
1210 and CDC.

1211 Q And who at HHS would CDC communicate
1212 that guidance was forthcoming or share the draft
1213 with?

1214 A Well, this was really a chief of staff
1215 issue. So Kyle, Nina, Amanda, the folks we talked
1216 about before would be interacting with HHS on these
1217 issues. I don't know everyone that they talked to,
1218 or who they worked with, but certainly ASPA was part
1219 of that, because it was on the comms side to make
1220 sure that they were aware that this was coming out.

1221 Q Did HHS ever provide comments or edits
1222 to that guidance that CDC was planning to put out?

1223 A Yes, there were times when guidance was
1224 reviewed and suggestions were made on -- mostly, it
1225 was on the comms side, trying to better understand
1226 our guidance. And there's a lot of this, here's how
1227 I read this. Is this what you meant to say? That
1228 sort of thing.

1229 The majority of our guidance, you know, was
1230 just sharing information. There was a guidance that
1231 HHS was particularly interested in, testing guidance

1232 being one of those, and certainly the school
1233 guidance.

1234 [Majority Counsel]. We can go off the record
1235 here.

1236 (Recess.)

1237 [Majority Counsel]. I want to note that this
1238 is [Redacted] joining for the Majority.

1239 BY [MINORITY COUNSEL].

1240 Q Dr. Walke, my name is [Redacted], I'm on
1241 the Minority staff of the Committee on Oversight and
1242 Reform. Thank you for being here, and I have a few
1243 questions for you.

1244 In the last hour, you said, we don't know what
1245 we don't know, which [Redacted] so rightly pointed
1246 out is so true in this situation. In your experience
1247 during this pandemic, was the Chinese government
1248 cooperative and forthcoming in information sharing?

1249 A Yeah, I don't really have any insight
1250 there. I know Dr. Redfield -- my knowledge is that
1251 Dr. Redfield reached out to the Chinese government
1252 and the health authorities multiple times to try to
1253 get -- to provide CDC assistance, and to try to get
1254 information. And we were not able to put CDC staff
1255 on the ground. That's really my knowledge.

1256 Q So China denied your offer to help?

1257 A We offered, and they did not accept.

1258 That's right.

1259 Q Okay. What about the WHO? Were they
1260 forthcoming with information, to your knowledge?

1261 A To my knowledge, they were forthcoming.
1262 Again, that was early on, early days in the response.
1263 And so I -- and my general knowledge is, I thought
1264 they were, but I don't know any details, especially
1265 early on.

1266 Q Okay. Thank you. So you said for
1267 January, you were on vacation. And then the 1st of
1268 February, you were deployed to California?

1269 A That's correct.

1270 Q When did you return from California?

1271 A I want to say February 24th, or sort of
1272 in that timeframe. Sort of the end of February.

1273 Q Was that the time that you took on the
1274 incident manager role?

1275 A No, I became the incident manager on
1276 July 1 of 2020.

1277 Q Okay. Thank you. So the Majority staff
1278 asked you about the guidance preparation process.
1279 When you explained the official process, you had
1280 mentioned sending it to outside groups. Is it common
1281 for CDC to work with outside groups on their

1282 guidance?

1283 A It's common to have -- to try to get
1284 input from our stakeholders around what their
1285 concerns are. So, you know, whether it's the worker
1286 safety, or occupational health, or hospital
1287 infections. So it's common to reach out to our
1288 partners in these particular areas, and try to
1289 understand what their concerns are.

1290 So, yes, there is this back and forth between
1291 the partners that -- in the same way we do with the
1292 state and locals. We try to get all sides, as we
1293 develop guidance, and try to understand what the
1294 ramification of CDC guidance would be, and how it
1295 would affect these various partners. And we do our
1296 best to try to reach out to partners, state and
1297 locals, to understand what their concerns are.

1298 Q For the record, can you further define
1299 partners? You mean groups unaffiliated with the
1300 federal government?

1301 A Yeah, that's right. So, for example,
1302 the American Association of Public Health Labs is one
1303 of our partners. The Council for State and
1304 Territorial Epidemiologists is one of our partners.
1305 A group that represents counties in the U.S., NACCHO,
1306 is one of our partners. So, yeah, absolutely. IDSA,

1307 the Infectious Disease Society of America is one of
1308 our partners.

1309 So we would reach out to their various
1310 partners, already had standing meetings with them,
1311 because it's important to have that feedback, and
1312 would talk about -- try to listen to them about what
1313 their concerns were. And at the same time, have a
1314 discussion around potential upcoming guidance, and
1315 how it would affect them.

1316 Q Is there a list online of the partners
1317 that you normally consult with?

1318 A I don't think there's one list. It
1319 certainly depends on the subject matter. If it's
1320 hospital infections, then there's one group. If it's
1321 schools, it would be another group. So worker
1322 safety, another group. So -- yeah, so the big
1323 partners.

1324 And I rely on the task force leads to
1325 understand that those subject matter experts within
1326 those groups would pull together -- the policy groups
1327 would pull together those partners, and have
1328 listening sessions or try to -- yes, I don't think
1329 there's a definitive listing where --

1330 Q Are the partners consulted with on
1331 specific guidance acknowledged after the guidance

1332 drafting process for the public?

1333 A No, not usually. Not that I can think
1334 of. I mean, the idea is that this is CDC guidance.
1335 And we are reaching out to a broad swath of various
1336 groups to try to understand everyone's challenges,
1337 and putting out our guidance. And in our guidance
1338 itself, we don't document that we talk to XYZ
1339 partner.

1340 Q I want to get back to the process of
1341 working with the outside partners. When you consult
1342 with them, is it -- I assume it's prior to
1343 publication of the guidance?

1344 A Yeah, that's right. I mean, a lot of
1345 these folks, we were having standing meetings with.
1346 Sort of the CSTE, APHL, NACCHO. We meet on a regular
1347 schedule to kind of hear from these large partners,
1348 representing state and locals around some of what
1349 their needs are. So, yeah, before -- as we're
1350 formulating documents, we're listening to the
1351 partners' input, yes.

1352 Q Okay. Are there types of guidances,
1353 either for sensitivity or any other reason that you
1354 wouldn't want to coordinate with outside partners?

1355 A Our stance is to try to coordinate as
1356 much as possible, and to be as forward-leaning and

1357 sharing as we can. Or at least hearing input from
1358 people.

1359 Of course, in a fast-moving pandemic, we're not
1360 able to incorporate or hear from everyone, but I
1361 think we do our best to listen. We try to hear from
1362 the -- whatever the topic is, from those partners,
1363 the major partners in those areas, yes.

1364 Q So you mentioned schools, so I imagine
1365 it would be teachers that you would usually
1366 coordinate with. Would you also coordinate with
1367 parent groups?

1368 A Yeah, it would be parent groups, school
1369 administrators, teachers. We would try to hear from,
1370 obviously, the Department of Education, and try to
1371 understand what they felt their substantial partners
1372 were in that space, and provide a forum where we
1373 could hear from those various groups, yes.

1374 Q Are drafts of the guidances sent to the
1375 interagency process, so Department of Education,
1376 would you send a draft to get comment on?

1377 A We have shared language or excerpts
1378 from -- to get feedback on particular parts of
1379 guidance to see -- because sometimes where we're
1380 trying to coordinate closely, for example, with the
1381 Department of Education, we want to make sure that

1382 we're synced up in our language.

1383 So, yeah, we absolutely would share portions or
1384 particular parts of our guidance internal to the
1385 federal government, to make sure that as we roll out
1386 that school guidance, for example, CDC and Department
1387 of Education are aligned.

1388 Q Does that include OMB and the White
1389 House?

1390 A You know, there's a process of where
1391 OMB -- where there's clearance in the interagency.
1392 And that's always a bit murky for me, in terms of --
1393 and so the chief of staff took care of those sort of
1394 clearance processes with OMB.

1395 You know, for the White House, in general, you
1396 know, we didn't share our guidance with the White
1397 House during the -- but there were certainly
1398 instances where the White House was keenly
1399 interested.

1400 Q Would you share it with the task force,
1401 when the task force was a thing?

1402 A Well, I wouldn't share it. You're
1403 talking about the COVID -- White House COVID Task
1404 Force?

1405 Q Yes.

1406 A Yeah, so Dr. Redfield, in his role,

1407 would get feedback from members of the White House
1408 related to guidance. So in that manner, yes, there
1409 was sharing of early -- of drafts of guidance --
1410 guidance documents.

1411 Q Thank you. So this has all been inside
1412 the government. And it sounds like it's pretty
1413 limited sending even excerpts of drafts inside the
1414 government, let alone a whole draft. Is it common to
1415 send draft deliberative or predecisional guidances
1416 outside of the government to those partners?

1417 A We may send summaries, like, the day
1418 before we're going to release something, or the day
1419 of, to give our partners -- to give people a heads up
1420 that this is coming, so they can help with their
1421 communication, get their talking points, so there's
1422 no surprises.

1423 We don't want to drop our guidance, and then on
1424 a particular day, and everyone's scrambling. So we
1425 would either give high-level summaries or draft --
1426 mostly high level summaries around what was
1427 happening. And if we released our whole guidance, it
1428 would be sort of in an embargo state, meaning several
1429 hours before, we would share with our partners, this
1430 is coming out, and here's some talking points around
1431 -- for our guidance.

1432 Q Okay.

1433 (Minority Exhibit A was
1434 identified for the record.)

1435 BY [MINORITY COUNSEL].

1436 Q I would like to insert for the record,
1437 the Minority Exhibit A, which I sent around during
1438 our break. Let me know when you have it in front of
1439 you. Is it in front of you?

1440 A It's not. I'm sorry.

1441 Q Okay.

1442 A I'm having some real difficulty right
1443 now bringing it up. Hold on.

1444 Q While you work on it, I can describe it
1445 to you. It's an email chain regarding school
1446 reopening guidance. And on the last page of the
1447 chain is an email from Kelly Trautner, who works for
1448 the American Federation of Teachers. And it's to --
1449 sort of people at the White House, CDC, and then some
1450 other union employees.

1451 And the last paragraph begins with, "Finally,
1452 we were able to review a copy of the draft guidance
1453 document over the weekend, and were able to provide
1454 some initial feedback to several staff this morning
1455 about possible ways to strengthen the document."

1456 So you just testified that it is uncommon to

1457 send a draft document outside of the government.
1458 This was sent February 1st, 2021. The guidance was
1459 published February 12, 2021. You also testified that
1460 if you did, it would be within a day or two. That's
1461 ten days. Why was this guidance sent outside the
1462 government?

1463 Mr. Barstow. [Redacted], I'm going to have to
1464 instruct Dr. Walke not to answer that question.
1465 That's outside the scope of the interview.

1466 [Minority Counsel]. Okay. I will keep going.
1467 BY [MINORITY COUNSEL].

1468 Q So knowing that it's uncommon to send
1469 draft guidances outside the government, is it common
1470 for outside groups to send draft language to you,
1471 being the CDC?

1472 A It's uncommon. You know, certainly, we
1473 receive incoming from all sorts of partners, usually
1474 in discussions. And they would give suggestions to
1475 say that it would be helpful for their constituents,
1476 for their groups if CDC could comment on X, or if CDC
1477 could address this particular challenge. Our
1478 constituents or our partners are having difficulties
1479 with this particular topic. It would be helpful for
1480 CDC to address this topic. That's the normal type of
1481 input we get from our partners.

1482 Q But uncommon for, like, line-by-line
1483 edits or additions?

1484 A That's correct.

1485 Q And in your employment with CDC since
1486 2001, have you ever personally incorporated edits or
1487 additions that came from an outside group?

1488 Mr. Barstow. [Redacted], that's outside the
1489 scope of the interview.

1490 [Minority Counsel]. No, it's not. We've asked
1491 for context questions throughout the scope of --
1492 throughout the course of these 15 interviews. I'm
1493 asking about, in his career, has he ever done this.
1494 Not about a specific instance.

1495 Mr. Barstow. If he wants to answer from the
1496 time period December 1, 2019 through January 20,
1497 2021, he is allowed to do so.

1498 The Witness. Would this be outside the federal
1499 government?

1500 BY [MINORITY COUNSEL].

1501 Q Yes, any edits that came from outside
1502 the federal government.

1503 A I don't remember any instance in my
1504 career.

1505 Q So to be clear, you're saying you don't
1506 remember any instance in your career, or any instance

1507 between December 2019 to January 20th, 2021?

1508 A So thank you for clarifying. Again,
1509 understanding that I do not remember any instance
1510 between -- within the scope of this interview, of an
1511 outside partner -- a partner outside the federal
1512 government or the White House providing line-by-line
1513 edits.

1514 Q Were you able to get the exhibit up, or
1515 should I keep describing it?

1516 A I apologize. I can't seem to get it up.

1517 Q Okay. I'll do my best to describe it in
1518 as much detail as possible.

1519 A I could pull it up on my phone, I guess,
1520 if you want to hold on. I'll reboot at our next
1521 break. If you continue to describe, I'll pull it up.

1522 Q Okay. So in that same email chain --
1523 actually, the same email from Kelly Trautner
1524 representing the American Federation of Teachers, she
1525 suggested a line-by-line edit to the draft guidance
1526 that was sent.

1527 The edit said, "Employers should provide
1528 reassignment, remote work, or other options for staff
1529 who have documented high-risk conditions, or who are
1530 at increased risk for severe illness from COVID-19 to
1531 limit the risk of workplace exposure. Options for

1532 reassignment include telework, virtual teaching
1533 opportunities, modified job responsibilities,
1534 environmental modifications, scheduling flexibility,
1535 or temporary reassignment to different job
1536 responsibilities.

1537 "These options should likewise be extended to
1538 staff who have a household member with documentation
1539 of a high-risk condition or who are at increased risk
1540 for severe illness from COVID-19. Policies and
1541 procedures addressing issues related to teachers and
1542 other staff at higher risk of serious illness should
1543 be made in consultation with occupational medicine
1544 and human resource professionals, keeping in mind
1545 Equal Employment Opportunity concerns."

1546 Director Walensky responded to the union and
1547 said this language would be added. Specifically, she
1548 responded, "Regrets for my delay in reply, but I
1549 wanted to be certain you knew it is being worked into
1550 (with just a few small tweaks) the school reopening
1551 guidance?"

1552 Do you recall adding that language to the
1553 school reopening guidance?

1554 Mr. Barstow. Once again, I'm going to instruct
1555 Dr. Walke not to answer the question. It's outside
1556 the scope of the interview.

1557 BY [MINORITY COUNSEL].

1558 Q Do you recall if the final guidance
1559 issued February 12, 2021 included this change?

1560 Mr. Barstow. Again, I will instruct Dr. Walke
1561 not to answer that question. It's outside the scope
1562 of the interview.

1563 BY [MINORITY COUNSEL].

1564 Q Going further up the email chain,
1565 Ms. Trautner responds again, this time on February
1566 11th, 2021, at 11:25 a.m., so less than 24 hours
1567 before the guidance is released.

1568 And she recommends another change. And it
1569 says, "In the event high-community transmission
1570 results from a new variant of SARS-CoV-2, a new
1571 update of these guidelines may be necessary."

1572 She explained this change was important because
1573 the American Federation of Teachers was concerned the
1574 absence of a closure threshold might put safety of
1575 adults and kids in school settings in jeopardy. And
1576 they urged the inclusion of clear closure triggers in
1577 the imminent guidance.

1578 Was this change incorporated into the guidance?

1579 Mr. Barstow. Once again, that question is
1580 outside the scope of the interview, so I'll instruct
1581 Dr. Walke not to answer that question.

1582 BY [MINORITY COUNSEL].

1583 Q So after that email, Dr. Walensky sent
1584 that change to you. It is redacted. You responded,
1585 "Yes, will work with the team," and the next day
1586 responded with the edit in the guidance.

1587 Did you edit that guidance to include the
1588 American Federation of Teachers' language?

1589 Mr. Barstow. Once again, that question is
1590 outside the scope of the interview.

1591 BY [MINORITY COUNSEL].

1592 Q Do you recall what the final guidance on
1593 February 12 stated?

1594 Mr. Barstow. That is also outside the scope of
1595 the interview.

1596 BY [MINORITY COUNSEL].

1597 Q The final guidance said, "In the event
1598 of increased levels of community transmission
1599 resulting from a new variant of SARS-CoV-2, updates
1600 to these guidelines may be necessary."

1601 That's a word for word, line-by-line addition
1602 from an outside group, based on a draft guidance that
1603 went outside of CDC. You said that all of that
1604 situation is incredibly uncommon, and could not
1605 remember a time during the scope of this interview
1606 that it happened before. It would appear that the

1607 CDC tried to appease the teachers' unions. And in
1608 fact, wrote guidance that they knew would include a
1609 closure threshold for schools.

1610 Dr. Walke, do you think it is appropriate to
1611 take line-by-line edits from an outside group and
1612 incorporate them into a CDC document?

1613 A In general or -- I'm now confused, in
1614 terms of the scope. So are we talking about during
1615 this period of time, or are we talking about in
1616 general?

1617 Q A context question. In general, if an
1618 outside group sent you a line-by-line edit, do you
1619 think it's appropriate to accept it without any
1620 additions, without any CDC guidance?

1621 A As I said before, it's -- we don't -- it
1622 would be uncommon for us to incorporate line-by-line
1623 edits into our guidance. We certainly are trying to
1624 receive input from all types of different partners.

1625 Q So if it's so uncommon, why did it
1626 happen with the American Federation of Teachers?

1627 Mr. Barstow. I'm going to instruct Dr. Walke
1628 not to answer that question.

1629 [Minority Counsel]. Thank you, Kevin. That's
1630 all I have. [Redacted] is going to ask a few.

1631 BY [MINORITY COUNSEL].

1632 Q My name is [Redacted]. I'm with the
1633 Republican staff. I just have a few quick questions.
1634 You mentioned early on in your conversation with my
1635 colleague, the Center for Preparedness. Can you just
1636 describe what that looks like a little bit?

1637 A Yeah, the Center for Preparedness and
1638 Response, which I currently lead as of November of
1639 2021 --

1640 Q Is it a physical space?

1641 A Well --

1642 Q Is it an actual center, or is it just a
1643 "center"?

1644 A I'm not sure what the quotations mean,
1645 but it's a center within CDC. CDC has multiple
1646 centers that -- where they pull together basically
1647 various activities. The Center for Preparedness and
1648 Response is focused on trying to provide technical
1649 assistance to state and locals related to
1650 preparedness for emerging threats, biological
1651 incidents, biological threats.

1652 Q So what does the center look like? Is
1653 there a physical space that you can describe for me?

1654 A I'm having a little trouble with the
1655 question. But the center is made up of almost 700
1656 people, three divisions. And we work in -- we work

1657 remotely by telework now, but if we weren't in this
1658 particular -- if we weren't in a pandemic, we would -
1659 - the majority of the staff are located in Atlanta,
1660 within the Roybal Campus at CDC.

1661 Q Okay. And is this the 2,000 people that
1662 were responding to the pandemic that you've been
1663 referring to throughout the interview?

1664 A The CDC response is made up -- the
1665 agency response is made up of staff from multiple
1666 different organizations across CDC, which would
1667 include the Center for Preparedness and Response. So
1668 the 2,000 people, some of those people were from the
1669 CPR, the Center for Preparedness and Response, some
1670 of them were from other centers, the National Center
1671 for Respiratory Diseases, the National Center for
1672 Emerging and Zoonotic Infectious Diseases, the
1673 National Center for Environmental Health.

1674 So that 2,000 strong response was made up of
1675 CDC employees from across multiple different
1676 organizations.

1677 Q Thank you. How many employees comprise
1678 the Center for Preparedness?

1679 A Around 700, I believe. Around.

1680 Q And when did the folks -- that
1681 approximately 700 start working remotely? Was it

1682 around March 12, 2020, or before?

1683 A You know, in the spring of 2020, we
1684 certainly -- within CDC, there was instructions to
1685 allow people to work remotely. So in the spring.
1686 I'm not sure of the date.

1687 Q And those 700, approximately, are still
1688 working remotely today?

1689 A The majority of them are still working
1690 remotely, are teleworking, we would say. Yes,
1691 working remotely. There are staff who are in the
1692 response who are in the office or in the emergency
1693 operations center, but we have been able to pivot
1694 from person -- we have been able to pivot from the
1695 in-person staffing of the emergency operations center
1696 to this remote Zoom Teams environment that we're in
1697 now.

1698 Q Okay. What does the emergency
1699 operations center look like? Can you describe that?

1700 A [Redacted]. It's made up of multiple --
1701 a big open space with a lot of computer monitors.
1702 And people can sit side by side normally, outside of
1703 a pandemic, like this and interact. There's a sort
1704 of 50 people, 75 people can sit on the main floor.
1705 There's offices around the floor. And there's a
1706 large number of television monitors that have

1707 various -- that are monitoring the epidemic.

1708 Q Are these people considered, that sit
1709 here, frontline responders or no?

1710 A We, CDC, would consider them frontline
1711 responders, yes.

1712 Q In the spring of 2020, were they working
1713 remotely?

1714 A A number of them were working remotely,
1715 and either working remotely or working -- or in the
1716 field, deployed to various states and local
1717 jurisdictions, depending on the outbreaks.

1718 Q How many -- approximately how many
1719 deployed in the spring of 2020 to the field?

1720 A We've had -- I don't know, during the
1721 spring. We had -- there's publications on this.
1722 We've had hundreds, literally hundreds of deployments
1723 early on during the spring. Each of those
1724 deployments had anywhere from five to ten CDC staff.
1725 So a lot of people were deployed.

1726 Q All at once -- let's say -- okay. What
1727 do you consider the height of the pandemic,
1728 Dr. Walke?

1729 A Well, during the scope in which we are
1730 talking about, you know, the fall and winter of --

1731 Q 2020?

1732 A 2020, yeah, was the height.

1733 Q So how many -- approximately how many
1734 CDC employees were deployed during that time period?

1735 A Over a hundred, probably over 200. So I
1736 don't know exactly.

1737 Q Okay. If there's a report that you can
1738 get to Kevin and provide us, that would be helpful.

1739 A Sure.

1740 Q And you were deployed, right, to Travis.
1741 Would that be considered a deployment?

1742 A That's right, yes.

1743 Q Okay. So in the summer of 2020, can you
1744 tell us what you were doing in the summer of 2020 or
1745 the spring of 2020 -- spring of 2020 into the summer
1746 of 2020?

1747 A Spring of 2020, I was leading a task
1748 force, the state, territorial, local, tribal, STLT,
1749 task force from -- go ahead.

1750 Q I think you talked about that with my
1751 colleague. What did a day in your life look like
1752 during that time period?

1753 A A series of meetings within the task
1754 force to, again, prioritize the work of the day. The
1755 IM meetings. As a member of the task force, meeting
1756 with the IM to talk about what we were doing.

1757 And then a series of conversations, usually
1758 with the states, to try to address -- to help them
1759 with the deployment, if they needed -- a lot of
1760 internal management issues, administrative issues,
1761 trying to make sure we were recruiting for the staff
1762 -- CDC staff onto our task force, and making sure we
1763 were sending CDC staff out to the field.

1764 And then talking with our partners, like CSTE
1765 or APHL, talking with the interagency, HHS, around
1766 laboratory reagents and laboratory shortages in
1767 states, trying to coordinate state needs with the
1768 resources for laboratory, you know, from HHS.

1769 Q Okay. Where were you physically, at
1770 that point, located?

1771 A I was actually on the Roybal Campus. At
1772 that time, we had -- we were trying to limit the
1773 number of people within the emergency operations
1774 center. There were people in the emergency
1775 operations center, but I was located, along with some
1776 other leadership, within that task force, within
1777 [Redacted] in my regular office. So I ran the task
1778 force out of the CDC campus.

1779 Q Do you have any idea -- this is more of
1780 a question. But those deployments in the spring of
1781 2020, were there any lives lost at CDC, do you know?

1782 A I don't remember any deaths from CDC
1783 staff during that period of time.

1784 Q You've mentioned the interagency a few
1785 times, and in conversations with my colleague. Can
1786 you just tell us exactly who you consider the
1787 interagency?

1788 A That's a good question. I think that
1789 HHS, so NIH, BARDA, FDA, ASPR, those were the main --
1790 when I think about the interagency, I think about
1791 those groups. Certainly we were coordinating with
1792 FEMA as well, which is outside of HHS.

1793 Q So it's actually intra-agency, because a
1794 lot of those entities that you mentioned are under
1795 the umbrella of HHS, except for FEMA.

1796 A Yeah, the Department of Education. So
1797 that's a broad term that would incorporate both
1798 within HHS and outside of HHS, the way I think about
1799 it. But you're correct, if it was only HHS, it would
1800 be intra.

1801 Q Okay. Who was the point person on
1802 dealing with states and localities in the spring --
1803 in the 2020 timeframe, which is the scope for today?
1804 Were you the point person, or was there somebody even
1805 more hands-on with states and localities?

1806 A I was the task force lead. And within

1807 that task force, which was fairly large, up to 300
1808 people or so, you know, we had individual points of
1809 contact for each state.

1810 So those individuals, the health department
1811 liaisons, we called them, would have more intimate
1812 day-to-day conversations with state and locals. But
1813 ultimately, that would filter up to me or my deputy.
1814 And then we would -- we would assist states.

1815 So we would normally jump on a call if needed.
1816 If the state epidemiologist, the state health officer
1817 or the governor's staff from a particular state was
1818 going to be on a call, we would try to -- as
1819 leadership, would be on those calls.

1820 Q Who is your deputy? Who was your deputy
1821 then?

1822 A The deputy was Peggy Honein.

1823 Q So during the relevant timeframe, who
1824 would you say you talked to most outside of CDC?

1825 A During the spring of 2020?

1826 Q Or all of 2020.

1827 A My deputy, which was Peggy Honein, from
1828 pretty much March until June of 2020. And then from
1829 July to January of 2021, I think -- 2020, I'm sorry.
1830 The dates -- it's like two years ago, if you will
1831 give me a little bit of a break. But during the

1832 timeframe, it was Peggy Honein was my deputy for the
1833 STLT. And then Michael Beach, who was my principal
1834 deputy.

1835 Q Okay. Did you -- and then you talked to
1836 the interagency, of course. Did you ever talk to the
1837 media?

1838 A Well, I was asked to speak to reporters
1839 or the media. And Dr. Redfield and I, and other CDC
1840 staff, held telebriefings, where reporters would ask
1841 CDC questions around a particular guidance that we
1842 were releasing. So, yes, in that way, I both did
1843 interviews with reporters, as well as the
1844 telebriefings with a group of reporters on particular
1845 topics, yes.

1846 Q Who asked you to do these?

1847 A The request would come from our OADC,
1848 the Office For Assistant Director of Communication.
1849 So normally, that request for an interview would come
1850 to the CDC communications staff. And then they would
1851 reach out to me and say, Henry, are you available?
1852 We think it's a good idea. Are you available to talk
1853 to X reporter on this particular topic? So that's
1854 the usual way that I was engaged.

1855 Q So these requests would be in your
1856 emails somewhere, probably?

1857 A Potentially. I mean, there's a lot of -
1858 - I would get a call, Henry, we would like you to
1859 talk with X on this particular topic. But I'm sure
1860 there is, in my email, a record of being asked, I
1861 would assume.

1862 Q And so you only spoke to reporters in
1863 sort of official fashion, where the requests came
1864 from the communications -- what was the acronym you
1865 used, OADC?

1866 A OADC. So reporters would reach out to
1867 me, either through email or would even text me, and
1868 say, Henry, are you available to talk about X? And I
1869 would always refer them back to our communication
1870 people, and say this request came in.

1871 So I always tried to keep CDC -- we have a
1872 clearance process for talking to the media. I would
1873 refer all those queries over to our comms staff.
1874 Some reporter even left a note in my mailbox one day.
1875 That was in 2020.

1876 Q They're persistent.

1877 A So I immediately called our staff
1878 members, and said, you know, this person, this
1879 reporter asked me to talk to them off -- you know,
1880 talk to them. All of these, in my mind, should be
1881 cleared through our communications staff.

1882 Q So as far as your recollection goes, you
1883 always cleared things with the communications staff?

1884 A That's correct.

1885 Q Okay. Have you ever spoken to a
1886 reporter named Dan Diamond that you recall?

1887 A I may have. That name is certainly
1888 familiar. But again, whether being asked a question
1889 on a telebrief or -- so the name is familiar, yes.
1890 So I don't remember an actual conversation. I want
1891 to be clear about that, but the name is familiar.

1892 Q Okay. Would you be willing to search
1893 your email for this relevant time period for any
1894 emails you may have exchanged with Dan Diamond? Is
1895 that something you would be willing to do? Maybe
1896 Kevin could assist.

1897 Mr. Barstow. If there is a Committee request
1898 for a document, we'll be happy to take a look at it.

1899 [Minority Counsel]. So you're requesting we
1900 put that in writing?

1901 Mr. Barstow. As always.

1902 [Minority Counsel]. All right.

1903 BY [MINORITY COUNSEL].

1904 Q There was a lot of guidance coming out
1905 in the spring of 2020 that affected children, school
1906 guidance, summer camp guidance. Who was the point

1907 person, the key drafter, who you would say was really
1908 in charge of those guidances?

1909 A We had a community mitigation task
1910 force. And within that task force, there was a
1911 school unit. And so Greta Massetti and Erin
1912 Sauber-Schatz led the task force intermittently
1913 through that period of time. And so those two were
1914 engaged.

1915 But within that large community mitigation task
1916 force, there was a school unit, with a number of
1917 different people on it. But the task force lead for
1918 community mitigation was primarily responsible for
1919 clearing -- making sure that initial draft was
1920 written. Then clearing it from the task force before
1921 it went into the larger CDC clearance chain.

1922 Q Okay. And that would cover summer
1923 camps, too?

1924 A That group would cover summer camps as
1925 well, in that task force, community mitigation. So
1926 they had a school unit, and they had another group
1927 that was also working on camp guidance. So it was in
1928 that same task force.

1929 Q Okay. When -- in your mind, when did we
1930 have firm scientific data evidence, whatever you want
1931 to call it, on outdoor transmission being extremely

1932 low? Like, when did you know, if I'm outdoors, I'm
1933 pretty safe?

1934 A Yeah.

1935 Q Or do you acknowledge that today, I
1936 guess I should ask.

1937 A I'm trying to think about when we knew
1938 what. We always thought, it's a respiratory virus,
1939 so even in the early days of Wuhan and the
1940 repatriation work we were doing in February, we were
1941 doing a lot of those meetings outside, because we
1942 knew with outside, it was safer dispersion of any
1943 respiratory droplets. So I think we were always
1944 emphasizing outside ventilation.

1945 Q So around February 2020, you think? Is
1946 that fair to say?

1947 A Well, ask me the question again, because
1948 we're talking about not a black and white. We knew
1949 that -- you know, for a respiratory virus, that
1950 transmission was safer -- it's safer to be outside
1951 than indoors, especially better for ventilation
1952 versus no ventilation. A general principle with the
1953 transmission of a respiratory virus, which was known
1954 before SARS-Co-V-2.

1955 Now, there were certainly studies being done
1956 looking at indoor transmission versus outdoor

1957 transmission, to show with this particular virus,
1958 that outdoor transmission was a much lower risk. But
1959 honestly, I don't remember the date.

1960 Q So if that's the case, do you have an
1961 explanation for why the CDC recommended that children
1962 at summer camps should mask outdoors?

1963 A Well, I think we were still learning
1964 about transmission and infectiousness of this
1965 particular virus. So we knew that outdoor was safer,
1966 but we were still learning about the viral dynamics.
1967 And so especially with kids, even if outside, it can
1968 be a crowded -- kids can group together, especially
1969 in play or in physical activity. And so I think we
1970 were still learning about the virus, and also
1971 concerned around clusters, groups of kids clustered
1972 tightly together, even outdoors, about transmission.
1973 So that was our concern.

1974 Q It's also hot outdoors. Actually, the
1975 guidance I'm referring to is 2021. So it would seem
1976 by then that we knew a little more. And so maybe the
1977 recommendation should have been children shouldn't
1978 cluster outdoors, instead of children should mask
1979 outdoors all the time, which was what it was.

1980 But that's outside of the scope, so I won't
1981 actually pose that question to you. But if you have

1982 any information or thoughts on that --

1983 A On our 2021 guidance?

1984 Q Yes, to mask outdoors, children at
1985 summer camps.

1986 Mr. Barstow. Outside the scope.

1987 [Minority Counsel]. As I noted.

1988 BY [MINORITY COUNSEL].

1989 Q I want to switch topics really quickly,
1990 and talk about partners again. You talked to my
1991 colleague, [Redacted], a lot about partners. Are
1992 industry considered partners, stakeholders, whatever
1993 language you want to use, you can choose? Are
1994 industry?

1995 A Industry would be a group of -- usually
1996 we would try to work with an association that's
1997 representing a particular type of industry. But,
1998 yes, we would hear from industry and any of their
1999 concerns.

2000 Q Is the American Academy of Pediatrics
2001 considered a partner stakeholder?

2002 A Yes.

2003 Q So if there were a list, they would be
2004 on the list?

2005 A That's right, yes.

2006 Q Did you work with the AAP on school

2007 guidance, do you recall?

2008 A I don't recall. It certainly would be
2009 reasonable for the task force that was working on
2010 school guidance to elicit input from the Academy of
2011 Pediatrics, yes.

2012 Q And who would have been the point person
2013 that would have dealt with the American Academy of
2014 Pediatrics, do you know?

2015 A Again, it would be the task force lead
2016 within that. If we're talking about school guidance,
2017 it would be the task force lead for the community
2018 mitigation task force, or that school unit within the
2019 community mitigation task force.

2020 Q Who was the lead of the school unit
2021 again?

2022 A I don't recall during the spring of
2023 2020.

2024 Q Who is it now?

2025 A I don't know who the head of the school
2026 unit is now. And again, I would just suggest that
2027 there are over 2,000 people in the CDC response at
2028 any one time.

2029 Q Okay.

2030 [Minority Counsel]. I think that's all the
2031 questions. We can go off the record.

2032 [Majority Counsel]. Dr. Walke, we're happy to
2033 continue or take a break for a few minutes, based on
2034 your preference.

2035 The Witness. We can continue.

2036 BY [MAJORITY COUNSEL].

2037 Q Dr. Walke, during the last round of
2038 questioning, you were asked hypothetical questions
2039 about whether line-by-line edits to CDC guidance
2040 provided by outside groups are appropriate. Does the
2041 appropriateness of any such edits depend on the
2042 content of those edits?

2043 A We really want to provide the best
2044 guidance possible. And so it is uncommon to have
2045 line-by-line edits. That said, we would look at any
2046 reasonable suggestions, and try to understand, would
2047 that provide clarity or -- in our guidance. That's
2048 what we're looking for, to meet the mark, to be
2049 helpful to the American public, and also to provide
2050 as much clarity as possible.

2051 Q I guess, in other words, if the edits
2052 are or were something that CDC would have otherwise
2053 implemented on its own, might it then be appropriate
2054 to implement those edits, for example?

2055 A That's correct.

2056 Q Since we are talking about guidance, I

2057 would like to discuss some of the specific guidance
2058 developed during the coronavirus pandemic. I know
2059 when you were talking about the STLT task force, you
2060 mentioned meat packing as one of the industries that
2061 you worked with at the state level.

2062 And I wanted to ask you a question about some
2063 guidance that was issued in April. And at this
2064 point, it might be helpful to look at the documents
2065 that we sent over that I hope you have. And
2066 specifically to look at the document that was marked
2067 as Exhibit 1. Do you have those?

2068 A Hold one second. Maybe I should have
2069 taken a minute to reboot my computer. Let me see.
2070 Can I ask your patience in going off the record to
2071 allow me to reboot the computer? That would be
2072 helpful, I think, in going forward.

2073 [Majority Counsel]. Not a problem at all.
2074 Let's go off the record, and we can take a few
2075 minutes. And we'll be here when you have rebooted.

2076 The Witness. Thank you.

2077 (Recess.)

2078 (Majority Exhibit No. 1 was
2079 identified for the
2080 record.)

2081 [Majority Counsel]. Let's go back on the

2082 record. I just want to note, I see JoAnn Martinez on
2083 as well from HHS. I could be mistaken, but I think
2084 that's a new addition, so I just wanted to note that
2085 for the record.

2086 BY [MAJORITY COUNSEL].

2087 Q Dr. Walke, I think you said you have
2088 Exhibit 1 up, which is great. Is that right?

2089 A That's correct. I have it in front of
2090 me.

2091 Q Thank you. So this is interim guidance
2092 for meat and poultry processing workers and employers
2093 from both the CDC and OSHA, issued on April 26, 2020.
2094 Are you familiar with that guidance?

2095 A Yes, I am.

2096 Q Did you have any involvement in
2097 formulating that guidance?

2098 A Yes, I reviewed the guidance and was
2099 aware of the guidance, yes.

2100 Q In terms of reviewing it, does that mean
2101 you reviewed it before it became final?

2102 A I did review it before it became final.

2103 Q But you didn't necessarily have a role
2104 in drafting the guidance?

2105 A No, our worker safety occupational
2106 health group drafted the guidance.

2107 Q Understood, thank you.

2108 (Majority Exhibit No. 2 was
2109 identified for the
2110 record.)

2111 BY [MAJORITY COUNSEL].

2112 Q If we could look next -- this one has
2113 been marked Exhibit 2, for the record. This is Bates
2114 stamped HSSCV-Smith-00000877. Dr. Walke, that just
2115 refers to the little number down in the right-hand
2116 corner for sort of our internal recordkeeping.

2117 A Sure.

2118 Q This is an email dated April 27, 2020
2119 that Dr. Redfield sent to Ken Sullivan, the then CEO
2120 of Smithfield Foods in Sioux Falls, South Dakota.
2121 And I note that you are CC'd on this email. Are you
2122 familiar with Smithfield Foods?

2123 A I am.

2124 Q How or in what capacity are you familiar
2125 with Smithfield?

2126 A Well, I recognize the company. And I
2127 was -- I recognize the company, and I was on a call
2128 with Dr. Redfield with Smithfield.

2129 Q Is the call that you were on with
2130 Dr. Redfield, the call that he seems to reference
2131 here in this email?

2132 A That's correct.

2133 Q Do you recall what took place on that
2134 call?

2135 A This is about our concern from
2136 Smithfield around our guidance, and hearing from
2137 Smithfield about some of their concerns. They wanted
2138 to make sure -- or to tell us that they were trying
2139 to keep their plants open. And had a discussion with
2140 Dr. Redfield around the risk balance of trying to
2141 keep the plants open, and at the same time provide
2142 safety to the workers. That's what I remember about
2143 that call.

2144 Q Other than you and Dr. Redfield, did
2145 anyone else participate in the call from CDC?

2146 A I can't remember, to be honest. I can't
2147 remember.

2148 Q Do you recall generally who else was on
2149 the call? Presumably Mr. Sullivan was on?

2150 A That's right. Mr. Sullivan was on,
2151 Dr. Redfield, and myself. I'm not sure of anyone
2152 else.

2153 Q Following the call, do you recall what
2154 happened?

2155 A We talked to a lot of people. And you
2156 know, I was on many calls with Dr. Redfield. So

2157 relating to this particular call, you know, we -- I
2158 don't remember exactly. To me, this is a call of
2159 many calls. We were hearing from various groups
2160 around concerns around our guidance.

2161 [Majority Counsel]. I want to, if we can, take
2162 a look at the document that's marked as Exhibit 3.

2163 (Majority Exhibit No. 3 was
2164 identified for the
2165 record.)

2166 BY [MAJORITY COUNSEL].

2167 Q This is an April 22nd, 2020 site visit
2168 memorandum and recommendation from CDC to Smithfield
2169 titled "Strategies to reduce COVID-19 transmission at
2170 the Smithfield Foods Sioux Falls Pork Plant." Are
2171 you familiar with this memorandum?

2172 A I am.

2173 Q Did you have any role in that site
2174 visit?

2175 A I didn't. I knew about the site visit.
2176 I knew that -- because we were deploying -- we were
2177 part of the team that was helping NIOSH deploy to
2178 South Dakota. And at a high level, I was -- I
2179 remember the memo, looking at it now. And this was
2180 one of the issues we were -- one of the congregate
2181 settings that we were concerned about. So at a high

2182 level, I remember the deployment. I remember this
2183 memo.

2184 Q But you weren't part of the team that
2185 deployed to the site; is that right?

2186 A That's correct.

2187 Q Did you have any role in drafting this
2188 actual memo?

2189 A I did not.

2190 Q Did you review or approve the memo?

2191 A I remember reviewing the memo. You
2192 know, it was cleared through our NIOSH group. And
2193 they really have the deep expertise in this space, so
2194 I remember reviewing the memo and -- yeah, that's
2195 what I remember.

2196 Q Sure. On August 26th, 2020, CDC's
2197 National Institute -- NIOSH, National Institute for
2198 Occupational Safety and Health told the House
2199 Committee on Education and Labor that a prior version
2200 of this memo had been cleared internally by two task
2201 forces within CDC. The prior version was dated a day
2202 before, April 21st. That was a day before this final
2203 memo was subsequently issued. Are you familiar with
2204 that April 21st version of the memorandum?

2205 A I am not intimately familiar with it,
2206 no. It's hard for me to remember the document before

2207 this document, if you hadn't put it in front of me.

2208 Q That's a good point. Let's look at it.
2209 It's been marked as Exhibit 4 in your bundle of
2210 materials.

2211 (Majority Exhibit No. 4 was
2212 identified for the
2213 record.)

2214 BY [MAJORITY COUNSEL].

2215 Q So looking at this document, generally,
2216 you're aware that there was a draft that had been
2217 internally approved, prior to the final version
2218 issued on April 22nd?

2219 A Yes. But, you know, we have a lot of
2220 documents, a lot of drafts.

2221 Q Yes.

2222 A So, yes.

2223 Q The April 22nd, 2020 version of the memo
2224 contains changes to the guidance from -- as compared
2225 to the April 21st version of the memo. And I want to
2226 just point out one or two of those to you. For
2227 example, the April 22nd version contains certain
2228 qualifiers. So, for instance, the first bullet on
2229 the top of page 8 of that memo -- again, this is
2230 Exhibit 3.

2231 A Yeah.

2232 Q -- says, "If feasible, all employees
2233 should wear the face covering being used by the
2234 company to cover their nose and mouth in all areas of
2235 the plants."

2236 And in the original draft from April 21st,
2237 which, again, is Exhibit 4, on page 7, the second
2238 bullet under "source, control, and hygiene" lists the
2239 exact same sentence, but does not contain that "if
2240 feasible" language. Are you aware of why changes
2241 like that were made to the April 22nd memo?

2242 A Some of this, as I remember, was based
2243 on concerns from USDA and industry around if they
2244 were going to be able -- if industry was going to be
2245 able to implement our recommendations. And I
2246 remember a conversation with Dr. Redfield internally
2247 around trying to acknowledge that these
2248 recommendations were recommendations, and trying to
2249 give some leeway in our recommendations.

2250 Q So you mentioned that there was concerns
2251 from both USDA and industry. How were those concerns
2252 communicated to you?

2253 A I was on calls with USDA during this
2254 period of time with Dr. Redfield and Sonny Perdue,
2255 with USDA at that time, and his staff, where this
2256 topic was discussed. So that's what I remember, that

2257 -- this concern of the feasibility or the ability of
2258 industry to implement our recommendations, knowing
2259 that a number of these facilities were older, and had
2260 narrow hallways, for example. And trying to strike
2261 the right balance between what was possible and our
2262 guidance. And I think that's what I remember.

2263 Q Were the concerns that USDA and industry
2264 communicated separate concerns, meaning that they
2265 each had their own set of concerns, or was the USDA
2266 translating industry concerns to you?

2267 A The way I remember this conversation,
2268 the general conversation was USDA talking about
2269 industry concerns. So that's really sort of the
2270 extent of my memory, that there was this general
2271 concern that our guidance would incorporate, or at
2272 least acknowledge that there were some actual
2273 physical barriers or challenges in implementing
2274 guidance in these settings.

2275 And so I remember a discussion around --
2276 particularly around the narrow hallways that sticks
2277 out in my mind for some reason. And then having a
2278 discussion with Dr. Redfield around trying to provide
2279 some flexibility.

2280 Q And what was your reaction to hearing
2281 those concerns from USDA and industry?

2282 A My personal reaction, you know, I saw
2283 their point. I -- I think we're always trying to --
2284 we were interested in making sure there wasn't
2285 transmission within the facilities. And from a
2286 public health standpoint wanted to stop transmission,
2287 and -- but I understood their perspective, that you
2288 want to keep the plant running. You want to keep
2289 people employed. I wanted to see how we could find a
2290 way to both keep the plant running and at the same
2291 time keep workers safe.

2292 So I think that's what these conversations were
2293 about, trying to receive input, trying to understand
2294 what were some of the challenges. But our -- my
2295 particular interest was in trying to keep workers
2296 safe.

2297 Q And did the changes that ultimately made
2298 it into the April 22nd memo do that? Did they keep
2299 workers safe, and did they align with the best
2300 science at the time?

2301 A We don't like to put these types of
2302 waffle words into our guidance, if feasible, for
2303 example. I think it undermines the clarity of the
2304 guidance itself. These are the recommendations. And
2305 so I was resistant to try to incorporate this type of
2306 language into our guidance, because it really muddies

2307 the guidance, when we start putting these waffle
2308 words into it. So I felt that was watering down our
2309 guidance.

2310 Q You mentioned that you don't like
2311 including those types of words in guidance,
2312 generally. Why don't you or CDC like to do that?

2313 A Well, I think it -- you know, we try to
2314 be as clear and direct as possible with our
2315 recommendations. And I think that if we include
2316 phrases like that, if feasible, then it dilutes our
2317 recommendations.

2318 And so I think we try to -- when we put out
2319 guidance, to have a more firm recommendation, do
2320 this, not that. We recommend X. And so the
2321 qualifiers really, I think, dilute our message. So
2322 in the editing and clearance process, we try to
2323 either strike that kind of language or think, well,
2324 do we even need to -- this recommendation is going to
2325 be so watered down, should we include it or not. So
2326 that's an internal debate that we have. And we try
2327 to be as direct and clear as possible.

2328 Q You mentioned the possible harm from
2329 diluting or watering down guidance. What is that
2330 harm, or how would it manifest?

2331 A Well, I mean, it could manifest by

2332 undermining the whole recommendation. So basically,
2333 people could opt out of our guidance, because it
2334 wasn't feasible. So I think that's the harm, that we
2335 provide such a -- it's so wishy-washy that -- is it
2336 guidance at some point? And is it a CDC
2337 recommendation or not? So we try not to put out this
2338 type of guidance, or these types of qualifiers.

2339 Q You mentioned that you were resistant to
2340 incorporate that type of qualifying language. In
2341 what ways were you resistant? Did you voice that to
2342 someone?

2343 A Dr. Redfield and I had many
2344 conversations around these -- very frank, open
2345 conversations. And in the end, he's the director,
2346 and he wanted to include this language. And so
2347 that's what we did. But we would have open
2348 discussion. I would -- we would bring in -- he would
2349 listen to a group of us. We would go back and forth
2350 around what we felt was the right way forward, and he
2351 had the ultimate decision.

2352 Q Do you know more specifically why
2353 Dr. Redfield wanted to include that language? I know
2354 we mentioned some concerns from USDA and industry.
2355 But more specifically, how this language made it into
2356 the memo?

2357 A I don't. I couldn't speculate, really.
2358 I remember Dr. Redfield wanting this language, if
2359 feasible, in the memo. And having the discussion
2360 around this, and thinking that, okay, but this is
2361 diluting the message. You know, I told you we had
2362 concerns around the conversation with USDA and
2363 industry, but that's really all I remember.

2364 Q Sure. Do you know if the request came
2365 from industry?

2366 A What I remember, and I want to be
2367 careful, because what I remember is the conversation
2368 around trying to make sure our guidance was as
2369 flexible as possible, or was flexible for these older
2370 facilities. And so that's what I remember in the
2371 discussions.

2372 Q And in any of your discussions with
2373 Dr. Redfield, did you explicitly raise your concerns
2374 with that language?

2375 A I did. But, you know, this is one of
2376 many conversations Dr. Redfield and I had during this
2377 period of time. But, yes, I remember expressing my
2378 concern about diluting the message.

2379 Q And what was his response to that?

2380 A I don't remember exactly. I mean, he
2381 heard me. Very polite man. We had open discourse.

2382 I remember him being firm about wanting that language
2383 in there. That's all I remember.

2384 Q Do you know if there was a feeling of
2385 pressure from any outside group or organization to
2386 adopt the changes?

2387 A I don't remember. Again, what I
2388 remember is the conversations I talked about before.

2389 Q Thank you. That's helpful to understand
2390 that. Do you know, did Smithfield have any access to
2391 the draft of the guidance from April 21st before the
2392 April 22nd version was released?

2393 A I don't have any knowledge. No, I
2394 don't. To my knowledge, I don't believe they had a
2395 draft, no.

2396 Q Do you know if they had expressed any
2397 dissatisfaction with any prior drafts before the
2398 April 22nd version was released?

2399 A Of the guidance or the memo?

2400 Q Of the memo, I'm sorry.

2401 A I don't know. I don't know.

2402 Q Did you discuss any of the concerns or
2403 the fact that you were resistant to incorporating the
2404 qualifying language that we discussed? Did you
2405 discuss that with anyone, other than Dr. Redfield, of
2406 course?

2407 A I'm sure that I discussed it with my
2408 deputy, Peggy, Peggy Honein, at the time. And I
2409 probably discussed it with the NIOSH staff who were
2410 drafting the guidance, yeah. So we were -- that's --
2411 I'm sure I discussed with Peggy, and I'm also sure
2412 that whoever was on for NIOSH at that time, maybe it
2413 was Doug Troutman here, what we discussed. But
2414 again, this was -- but that was the decision to put
2415 that in, and that's what we did.

2416 Q Sure. Are you aware whether NIOSH
2417 shared those concerns?

2418 A I don't remember specific conversations,
2419 honestly. I remember that this was the decision, and
2420 that's what we executed on. I don't think -- well, I
2421 don't want to speculate. I don't remember a specific
2422 conversation.

2423 Q I want to ask you another question or
2424 two about the email that we looked at briefly, which
2425 is Exhibit 2. That was from Dr. Redfield to the then
2426 CEO of Smithfield. In his email, Dr. Redfield
2427 connected Mr. Sullivan with you and Douglas Trout,
2428 and I wanted to clarify, who is Douglas Trout?

2429 A So Doug Trout works with NIOSH, a CDC
2430 employee. And, you know, he was involved in a number
2431 of -- he might have been the chief medical officer.

2432 Anyway, he was a leader in NIOSH and -- yeah, that's
2433 what I -- yeah, so Doug worked for NIOSH, and was a
2434 leader in that group.

2435 Q Were you ever able to -- or did you ever
2436 connect with Mr. Sullivan?

2437 A You know, I tried to. I don't remember
2438 any connection with Mr. Sullivan outside of that
2439 phone call. But I -- yeah, I don't remember. Again,
2440 I was on a number of phone calls with Dr. Redfield,
2441 so I don't remember a conversation with Mr. Sullivan
2442 outside of this phone call.

2443 Q And I'm sorry if you mentioned this in
2444 your previous answer, I might have missed it. Did
2445 you say Doug Trout was assigned to the Sioux Falls
2446 field team on this?

2447 A He was certainly at headquarters for
2448 NIOSH, and engaging with the Sioux Falls field team,
2449 but I don't remember if he was on the ground or not.

2450 Q Exhibit 3, the April 22nd Smithfield
2451 memo, the final version.

2452 A Yeah.

2453 Q Was Mr. Trout working on the Sioux Falls
2454 review when this memo was released, do you know?

2455 A I don't know.

2456 Q The first author listed on the memo is

2457 Michael Grant. Could you tell us who that is,
2458 Michael Grant?

2459 A Michael Grant, as stated here, is also -
2460 - works for NIOSH within CDC, in the worker safety
2461 group. So I believe he was the team lead on the
2462 ground for the field team.

2463 Q Let's open briefly Exhibit 5, if we can.
2464 (Majority Exhibit No. 5 was
2465 identified for the
2466 record.)

2467 BY [MAJORITY COUNSEL].

2468 Q This is a document marked HSSCV-Smith
2469 00000898, and it's a May 5, 2020 memo from CDC to
2470 USDA, recommending that the Sioux Falls plant be
2471 reopened. And it doesn't list Grant as one of the
2472 authors. Do you know, was Michael Grant removed from
2473 the Sioux Falls review at some point?

2474 A I don't remember. I don't remember him
2475 being removed. I remember Erin Kennedy, who is on
2476 this Exhibit 5, being deployed in Sioux Falls. So
2477 I'm not sure what happened with Michael Grant. Is it
2478 Michael? Grant, yeah.

2479 Q Got it. Thank you. We were talking a
2480 bit briefly earlier about the toned down or
2481 qualifying language that was added to the Smithfield

2482 memo. I wondered if you're aware of whether language
2483 like that was added to any other guidance for other
2484 industries?

2485 A Not to my knowledge, that comes to mind.
2486 Not for other industries. There was language or
2487 testing guidance that was added around "do not
2488 necessarily need to." That was -- that sticks out in
2489 my mind as sort of waffling, which that was added.
2490 But other than that, nothing else. I can't remember
2491 anything else.

2492 Q I do want to ask about that. But,
2493 first, I just want to stick with this meat packing
2494 guidance. Let's look back at Exhibit 1, if we can,
2495 which is the actual guidance itself.

2496 A Okay.

2497 Q And this guidance also contained
2498 qualifiers like "if possible" and "if feasible,"
2499 similar to what appeared in the April 22 Smithfield
2500 memo. If it's helpful, one example is on page 3, in
2501 the first paragraph, which says, "Add additional
2502 clock in/out stations, if possible, that are spaced
2503 apart, to reduce crowding in these areas."

2504 Do you know if you or any of your colleagues
2505 consulted the April 22nd Smithfield memo during the
2506 process of formulating this guidance?

2507 A This is more of the same, isn't it? So
2508 when was the release of this one?

2509 Q This was April 26th, 2020.

2510 A And the memo was Exhibit 3, you said?

2511 Q Yes. And that was April 22nd, for
2512 reference.

2513 A Right. So I mean, it is a similar
2514 conversation around -- almost the same conversation
2515 about utilizing this type of, "if feasible," "if
2516 possible" language in our guidance. So it's not
2517 something that we want to do, but -- and as I said
2518 before, it gives more flexibility, and it gives a lot
2519 of flexibility, I think, in the recommendation. So
2520 it's something we try to stay away from.

2521 Q Do you recall having any discussions
2522 with Dr. Redfield on including language like that in
2523 this guidance, separate from the discussions you had
2524 in connection with the April 22nd memo?

2525 A To me, it's sort of the continuation of
2526 the same conversation, which is to give this kind of
2527 flexibility in our guidance. So Dr. Redfield really
2528 wanted this type of language in the guidance, and so
2529 that's what we did.

2530 Q Did you play any other role in
2531 connection with any meat packing plant closures or

2557 may contribute to transmission of COVID-19, possibly
2558 through emission of aerosols." Do you recall that?

2559 A I recall the -- yes, I recall that.

2560 Q Did you have any role in developing
2561 those guidelines?

2562 A I did not.

2563 Q The guidance on the CDC website changed
2564 over the course of that weekend. Are you familiar
2565 with that?

2566 A Retrospectively? I mean, now I am. At
2567 the time, I wasn't aware of what was going on.

2568 Q I know you said retrospectively. Do you
2569 recall about when you became familiar? Was it very
2570 recently, or just soon after the event actually
2571 happened?

2572 A When I was IM, you know, in July, you
2573 know, holding conversations, that sort of thing, I
2574 became aware of it. At the time, I was not aware of
2575 what was happening.

2576 Q How did you become aware, then?

2577 A I believe Dr. Butler told me about it,
2578 Jay Butler. And potentially another leader in the
2579 response. But that's all I know.

2580 Q Do you recall the context in which it
2581 came up, the conversation with Dr. Butler?

2582 A I don't. Jay and I talked about a lot
2583 of things. I took over the IM from him in July, and
2584 I remember it coming up in that context. But not
2585 with any -- a lot of detail.

2586 Q Moving on to some other guidance. Were
2587 you involved -- I think you mentioned earlier that
2588 you might have been involved in efforts to draft
2589 guidance related to schools, including reopening
2590 schools during the pandemic?

2591 A That's right. I mean, I didn't draft
2592 the guidance, but I did review the guidance and did
2593 work with the subject matter experts to discuss, and
2594 then discuss with Dr. Redfield, and the guidance --
2595 and had the school experts on. So, yeah, I was part
2596 of those discussions, yes.

2597 Q And I think you mentioned that you would
2598 review guidance that would come from the subject
2599 matter experts on the task force or the committee
2600 within the task force --

2601 A That's right.

2602 Q -- who would do the initial drafting,
2603 and you would review?

2604 A That's correct.

2605 Q Okay. Great. On July 8, 2020,
2606 President Trump tweeted that he disagreed with the

2607 CDC's then current guidelines for safely reopening
2608 schools, which encouraged hygiene, the use of cloth
2609 face coverings, modified seating layouts to allow
2610 social distancing, and closing of communal spaces. I
2611 think you've told us that you were incident manager
2612 as of July 1st, so I wondered, did you have any
2613 involvement in drafting the guidelines that President
2614 Trump tweeted about on July 8th?

2615 A You know, yeah, that was -- a lot of
2616 that work had already been started by the time I took
2617 over as incident manager. But, sure, I was --
2618 whenever it was released, I was -- I had reviewed it
2619 and had conversations with Dr. Redfield about it.
2620 But the initial drafting of that guidance, you know,
2621 was ongoing as I took over.

2622 Q When you were in your role before
2623 incident manager on the state, and local, and tribal
2624 task force, you weren't involved in drafting or
2625 reviewing guidance related to schools; is that right?

2626 A That's correct.

2627 Q President Trump tweeted his disagreement
2628 with the guidelines, saying that they were, quote,
2629 very tough, and quote, expensive. During a press
2630 briefing a few hours later, Vice President Pence said
2631 that the CDC would issue new guidance on reopening

2632 schools the following week. Do you recall that?

2633 A I do.

2634 Q Did you have any reaction to President
2635 Trump's tweet?

2636 A Well, I mean, it's not helpful. The
2637 President -- we release guidance, and the President,
2638 in a tweet or otherwise, undermines the guidance we
2639 just released. So it's not a -- it's not speaking
2640 with one voice, really, from the federal government.
2641 So -- yeah, that was my thought.

2642 Q In what way did you feel that it
2643 undermined CDC's guidance?

2644 A Well, I mean, we were putting out
2645 guidance, based on our expertise within CDC,
2646 consultation with multiple partners. We thought, at
2647 the moment, we would keep schools safe. So -- and so
2648 by the President undermining that message, these are
2649 recommendations to school districts, to
2650 jurisdictions, states, locals, to implement, you
2651 know, within their school districts.

2652 By undermining the message, that dilutes our
2653 recommendation. And then eventually, you know,
2654 people wouldn't adhere to our recommendations or
2655 people wouldn't utilize our recommendations if we're
2656 not speaking with one voice. And so I think it

2657 diminishes the impact, really, of our guidance.

2658 Q Did you have any reaction to Vice
2659 President Pence's press conference, during which he
2660 said that CDC would issue new guidance?

2661 A Well, that was the -- yeah, I mean, I
2662 was surprised that the Vice President said that. I
2663 guess my initial reaction was, what is that going to
2664 look like, when he says, we will revise?

2665 Q On July 23rd, 2020, CDC did post on its
2666 website revised guidance, a page titled "The
2667 importance of reopening America's schools this fall."
2668 Are you familiar with that?

2669 A I am.

2670 Q Were you involved in efforts to draft
2671 that guidance?

2672 A No, I was not.

2673 Q What about in reviewing or approving it?

2674 A This was a document that was -- had been
2675 -- I believe had been drafted by SAMHSA that had been
2676 discussed with our school group at CDC. We were not
2677 involved -- I was not involved. And my understanding
2678 is that our school CDC staff were not involved in
2679 drafting that document.

2680 Q Just to clarify, SAMHSA is the Substance
2681 Abuse and Mental Health Services Administration; is

2682 that right?

2683 A That the correct.

2684 Q Do you know how they came to be involved
2685 with drafting that guidance?

2686 A I don't know how they came to be
2687 involved. Obviously, in their name, they're
2688 concerned about mental health issues. And I remember
2689 the leader of SAMHSA was very vocal about getting
2690 kids back to school.

2691 Q Was the SAMHSA guidance that was
2692 ultimately posted on the CDC's website based on the
2693 best available science at the time?

2694 A We thought our guidance -- the CDC
2695 guidance was based on the best available science.
2696 The document that was posted on our website was not a
2697 CDC document. And we had issues with the document.
2698 Our school team had issues with the document.

2699 Q Did anyone voice any of those concerns
2700 with the document to anyone?

2701 A Our school team informed me about the
2702 document, and voiced their concerns to me about the
2703 document. And in our conversations -- I don't
2704 remember. I know that we had, I believe, our school
2705 team. And I talked to Dr. Redfield about our
2706 concerns with the SAMHSA document, but I don't

2707 remember the specifics.

2708 Q Do you remember what Dr. Redfield's
2709 reaction was to that conversation?

2710 A I don't.

2711 Q Do you know who ultimately determined
2712 that the guidance would be released and posted on
2713 CDC's website?

2714 A That's the director's decision. So
2715 ultimately, Dr. Redfield decided to post it on our
2716 website.

2717 Q Do you know if anyone else at CDC, other
2718 than the school team, or you, as you've mentioned,
2719 expressed concerns about the SAMHSA document?

2720 A I don't remember. I don't know.

2721 Q Do you know if anyone at the White House
2722 insisted on specific language to be included in the
2723 guidance that CDC disagreed with?

2724 A There certainly were conversations
2725 between the White House and Dr. Redfield on this
2726 topic, and -- but I don't really remember the
2727 specifics of it, to be honest.

2728 Q In September 2020, the New York Times
2729 reported that Dr. Birx wrote to Dr. Redfield to ask
2730 him to incorporate the SAMHSA document into the
2731 school reopening guidance. Are you familiar with

2732 that email?

2733 A I think it's one of your exhibits, I
2734 believe. I was not familiar with the email before,
2735 no.

2736 Q We have the New York Times article which
2737 has a snippet of the email.

2738 A Okay.

2739 Q But not the email itself. And so I was
2740 wondering if you, yourself, received the email or
2741 not. But I think you just said you did not.

2742 A I did not.

2743 Q Based on your experience, is it typical
2744 for White House advisers to request that this type of
2745 language be included in CDC guidance?

2746 A No, it's not typical.

2747 Q Is it something is that had happened at
2748 any other time before?

2749 A For a whole document like this to be
2750 posted on our website as a preamble to our CDC
2751 guidance, no, that had never happened before, to my
2752 knowledge.

2753 Q To your knowledge, did the White House
2754 direct changes to any other school guidance that
2755 you're aware of?

2756 A Not to my knowledge.

2757 Q To your knowledge, did the White House
2758 ever direct that CDC not release any guidance related
2759 to school reopening?

2760 A Not to my knowledge, no.

2761 [Majority Counsel]. I think we are a bit shy
2762 of the hour, but I think this is a good place to take
2763 a break, so we can go off the record.

2764 (Whereupon, at 12:27 p.m., the testimony in the
2765 above-entitled matter was recessed, to reconvene at
2766 1:00 p.m., this same day.)

2767 AFTERNOON SESSION
2768 (1:00 p.m.)
2769 EXAMINATION BY COUNSEL FOR THE
2770 COMMITTEE (RESUMED)
2771 BY [MINORITY COUNSEL].
2772 Q Dr. Walke, I want to read you a few
2773 statements, and you just give me a yes or no on if
2774 they are accurate. Children appear to be at lower
2775 risk for contracting COVID-19 compared to adults. Is
2776 that accurate or not accurate?
2777 A Is this based on what we know now?
2778 Q Is that an accurate or inaccurate
2779 statement?
2780 A Okay. Just -- fine. Can you start
2781 again? I apologize.
2782 Q Children appear to be at lower risk for
2783 contracting COVID-19 compared to adults?
2784 A I can't say. I think that they are --
2785 it depends on how you -- yeah, early on, we thought
2786 they were at lower risk. We have more data that
2787 looks like it is equivalent risk. So I would say
2788 that it's not a yes/no. It's a -- I think they're at
2789 equivalent risk of contracting SARS-CoV-2.
2790 Q Is the R-naught for pediatric cases
2791 lower than adult cases?

2792 A Whether or not they can transmit to
2793 others. I can't say that it's lower, no. I think
2794 that, yeah, I mean, children have milder, more
2795 asymptomatic infections. But in terms of
2796 transmission early on, again, we were studying -- I
2797 think it's -- it's enough transmission to be
2798 concerned about. That's what I think we would say.

2799 Q I'll put a date on that statement, if
2800 that changes your assessment. By September 30th of
2801 2020, was that statement accurate?

2802 A I believe at that time, and this is my
2803 recollection, that the data was showing that kids
2804 were less likely to transmit. In your case, the
2805 R-naught was lower. But again, at a level that we
2806 were still concerned about.

2807 Q Okay. By September 30th, 2020, was this
2808 statement accurate or not accurate, scientific
2809 studies suggest that COVID-19 transmission among
2810 children in schools may be low?

2811 A May be low. Yes, that's accurate.

2812 Q Okay. By September 30th, 2020, was this
2813 statement accurate or not accurate? The best
2814 available evidence from countries that have opened
2815 schools indicates that COVID-19 poses low risks to
2816 school-aged children, at least in areas with low

2817 community transmission, and suggests that children
2818 are unlikely to be major drivers of the spread of the
2819 virus."

2820 A I think that has to be in context,
2821 because there's different layers of mitigation, which
2822 would include ventilation, masking. So we were -- so
2823 with appropriate mitigation levels and with those
2824 layers of the mitigation, ventilation, masking,
2825 distancing, the thought was that, yes, when community
2826 transmission is low, schools are reflecting the
2827 transmission rates in the communities, so
2828 transmission in schools would also be lower.

2829 Q So that statement by September 30th,
2830 2020 would be accurate?

2831 A That's correct.

2832 Q One more. September 30th, 2020, is this
2833 statement accurate or inaccurate? Early reports
2834 suggest children are less likely to get COVID-19 than
2835 adults?

2836 A You know, it's all in the --
2837 epidemiology is not a yes/no field of science. So,
2838 yeah, I believe, yes, what you said is accurate, less
2839 likely to be infected. But, again, at a level that
2840 we -- and without those layers of mitigation, a level
2841 that we would be concerned about. So it's not a --

2842 there's a lot more to that statement than a yes/no.

2843 But, yes, it's accurate.

2844 Q Are there fewer pediatric cases of
2845 COVID-19 today than there are adult, in total?

2846 A That's right, yes, there are.

2847 Q Okay.

2848 A Yes. But of course, that's a rate
2849 issue, isn't it? It's a denominator issue as well.
2850 So there's the numerator, there's more adults than
2851 there are kids. But even looking at the rates, there
2852 are fewer cases in the pediatric population.

2853 Q Okay. Thank you. We were talking a
2854 little bit about the guidance as it relates to meat
2855 packing plants. And you said the last hour that
2856 qualifiers in these guidances are frowned upon,
2857 because it waters down the guidance, and people may
2858 not follow it. Is that a fair characterization?

2859 A Yes.

2860 Q Is the CDC a law-making agency?

2861 A There are parts of the CDC that have a
2862 regulatory function. So in that scenario, yes.

2863 Q Is your meat packing guidance equivalent
2864 to law?

2865 A No, these are recommendations.

2866 Q Director Walensky, recently, it was

2867 reported, said, "I will say that guidance is just
2868 guidance. And all of these decisions, we continue to
2869 say, have to be made at the local level." Do you
2870 agree with that statement?

2871 A We take the local -- yes. Yes.

2872 Q Okay.

2873 A CDC makes recommendations. States and
2874 locals have the authority.

2875 Q So CDC cannot make law. Guidance is
2876 just recommendations. Is it fair to, then, demonize
2877 people that may alter your recommendations to what's
2878 practical in their communities?

2879 A The key here is demonize. That seems
2880 like a strong term.

2881 Q Is it fair to say that a governor is
2882 actively killing their population because they're not
2883 following CDC guidance?

2884 A No, I don't think that's fair to say.

2885 Q All right. Thank you. Are you
2886 currently the director of the Division of
2887 Preparedness and Emerging Infections in the National
2888 Center for Emerging and Zoonotic Infectious Diseases?

2889 A I am not.

2890 Q When did you stop having that role?

2891 A In November of 2021, I left that role

2892 and became the director of the Center for
2893 Preparedness and Response.

2894 Q Okay. Would you consider yourself an
2895 expert in zoonotic diseases?

2896 A You know, in the general -- in the
2897 general term, I have expertise, yes.

2898 Q Okay. Do you know Ralph Baric?

2899 A Ralph who?

2900 Q Dr. Ralph Baric. He's at the University
2901 of North Carolina?

2902 A I do not know him, no.

2903 Q Okay. Have you worked on -- I assume,
2904 as being the director of the Emerging Zoonotic and
2905 Infectious Diseases Center, have you worked on
2906 responses to zoonotic diseases prior to COVID-19,
2907 MERS, or SARS-1?

2908 A I did not work on SARS-1 or MERS. I did
2909 work on Ebola some, both in 2014 and recently in the
2910 DRC outbreak.

2911 Q Okay. Have you been able to see the
2912 sequence of SARS-CoV-2?

2913 A It's published, yes.

2914 Q And you're aware of it, and have seen
2915 it?

2916 A I'm aware of it, yes. I haven't gone

2917 through all the genetic code, but I know it's
2918 published and easily found.

2919 Q Okay. Have there -- understanding that
2920 you haven't gone through all the genetic code, is
2921 there anything in the sequencing that would strike
2922 you as unusual?

2923 A That's not my role or expertise, so I
2924 really can't comment.

2925 Q Okay. As the director of zoonotic
2926 diseases, it's not your expertise to look at zoonotic
2927 sequences?

2928 A We have very -- the genetic epidemiology
2929 or sequencing interpretation of sequencing, and
2930 mutation rates is a very narrowly defined field. So,
2931 yes, there are people within the CDC who are experts
2932 in this area, but my training is in epidemiology, and
2933 not in molecular laboratory methods.

2934 Q Okay. Do you know what a furin cleavage
2935 site is?

2936 A I'm aware of what furin cleavage site
2937 is, as far as CoV-2, yes.

2938 Q In your work in zoonotic diseases,
2939 particularly in Ebola, and just as the director of
2940 the center, have you seen any coronaviruses before
2941 with a furin cleavage site?

2942 A I'm not aware of -- and again, this is
2943 not really my expertise. So we can continue here,
2944 but if you get deep into genetic code and 3D
2945 dimensional makeup as far as CoV-2, I'm not going to
2946 be able to say much. But, no, I wasn't aware of a
2947 furin cleavage site before this response.

2948 Q Okay. Do you know Dr. Bob Garry at
2949 Tulane?

2950 A I do not.

2951 Q Okay.

2952 [Minority Counsel]. Unless any of my
2953 colleagues have any questions, I'm done. We're good
2954 for this hour. Thank you, Dr. Walke.

2955 [Majority Counsel]. We can take a break or
2956 just keep going, based on your preference.

2957 The Witness. Let's keep going.

2958 (Majority Exhibit No. 11 was
2959 identified for the record.)

2960 BY [MAJORITY COUNSEL].

2961 Q I want to show you a document that we've
2962 marked as Exhibit 11 in the materials that we sent
2963 you.

2964 A Okay.

2965 Q I think this will look familiar to you.
2966 You've alluded to it during our conversation already

2967 today. And this is the CDC testing guidance from
2968 July 2020.

2969 A Right.

2970 Q Did you say you're still pulling it up
2971 or you have it?

2972 A I have it.

2973 Q Great. So to confirm, do you recognize
2974 this document?

2975 A I do.

2976 Q Do you know who drafted this guidance?

2977 A This guidance comes from our laboratory
2978 task force within the CDC response. So they took the
2979 lead on this overview of testing document.

2980 Q And then did you review it, like you've
2981 told us you've done with other --

2982 A I did.

2983 Q You did. Did anyone review it after
2984 you?

2985 A After it was published or before it was
2986 published? I just want to clarify.

2987 Q Prior to it being published.

2988 A Usually the -- after the incident
2989 manager review, it goes to our Office of Science, and
2990 then it goes to the CDC director. So that's who
2991 would review it after me.

2992 Q So this guidance appears to be the
2993 guidance that was available on CDC's website prior to
2994 August 24, 2020. And it reads, in part -- this is at
2995 the very top of page 3 of 4. "Testing is recommended
2996 for all close contacts of persons with SARS-CoV-2
2997 infection. Because of the potential for asymptomatic
2998 and pre-symptomatic transmission, it is important
2999 that contacts with individuals with SARS-CoV-2
3000 infection be quickly identified and tested."

3001 Do you see that?

3002 A I do.

3003 Q Did you think that the guidance was
3004 consistent with the best available science at that
3005 time?

3006 A Yes.

3007 Q And how did others react at the CDC to
3008 this guidance? Did they agree with it?

3009 A Yes.

3010 Q The guidance was updated in August 2020,
3011 and we can look at that. It's Exhibit 12.

3012 (Majority Exhibit No. 12 was
3013 identified for the record.)

3014 The Witness. Yes.

3015 BY [MAJORITY COUNSEL].

3016 Q The August 24th version changed the

3017 earlier guidance to say, "You do not necessarily need
3018 a test unless you are a vulnerable individual, or
3019 your health care provider or state or local public
3020 health officials recommend you take one." And I
3021 should have told you before I was reading that, but
3022 that's in the middle of page 2. But you may be
3023 familiar with it anyway.

3024 A I'm very familiar with it, yes.

3025 Q Do you know why the guidance was
3026 changed?

3027 A There were multiple drafts of this
3028 guidance between -- over a period of several weeks.
3029 There was concern that there was a testing shortage,
3030 and that we wanted to prioritize tests for those
3031 individuals at the greatest risk.

3032 So over -- and this was a conversation that was
3033 going on within the response, and with HHS. And it
3034 landed here. You do not necessarily need a test
3035 because the thought was, if you didn't have symptoms
3036 and you weren't vulnerable, then you would be a lower
3037 priority for testing. And therefore, those
3038 individuals who were vulnerable, who were
3039 symptomatic, could be prioritized for testing. So
3040 that's really how we ended up here.

3041 Q So you mentioned that there was concern

3042 about a testing shortage, which is what played into
3043 this change. Who was expressing that concern?

3044 A This really came from Admiral Giroir,
3045 the Assistant Secretary for Health. But also in
3046 conversations with the White House, with Dr. Birx.
3047 And a subtext of this as well was that people were --
3048 Dr. Atlas also had come into the White House. And
3049 there was another conversation around the need for
3050 testing at all, or the need, honestly, for
3051 quarantining at all among those who were close
3052 contacts.

3053 So there was a lot of discussion around our
3054 testing guidance during the period of time,
3055 conversation related to prioritizing those who really
3056 needed testing, because the wait times, the
3057 turnaround times for testing were extensive in some
3058 cases, to make sure those who really needed testing
3059 could be prioritized for testing, as well as the
3060 bigger conversation of do people -- asymptomatic,
3061 well appearing people need to be tested at all. And
3062 do they even need to quarantine at all. So that's
3063 what I mean.

3064 Q And those conversations that you
3065 mentioned with those folks at the White House, were
3066 you part of those conversations?

3067 A I was not part of the White House
3068 conversations. These were discussions with
3069 Dr. Redfield and Dr. Giroir at the time.

3070 Q The discussions between Dr. Redfield and
3071 Admiral Giroir, or Dr. Giroir, when did those begin,
3072 do you know?

3073 A In July, late July, Admiral Giroir was
3074 asking a lot of questions around our testing
3075 guidance. He was the testing czar, very interested
3076 in our testing guidance, and wanted to better
3077 understand how we were prioritizing those who needed
3078 testing.

3079 Q What, if anything, else was he asking,
3080 in terms of questions on your testing guidance?

3081 A It was -- he was particularly interested
3082 in the prioritization in whether -- who was -- who
3083 needed to be tested. And was -- we drafted several
3084 drafts. Admiral Giroir, at one point, took a --
3085 draft 5 or 6, and basically drafted new guidance.
3086 And sent it back to us in a very different -- not a
3087 CDC style. Very simple, more consumer language
3088 guidance that laid out priorities for testing. And
3089 was having conversations with the COVID task force,
3090 with Dr. Birx and Dr. Atlas, Dr. Fauci, and
3091 Dr. Redfield around a way forward, in terms of what

3092 made sense for testing guidance.

3093 Q So these drafts that Admiral Giroir sent
3094 over, what happened with them or what became of them?

3095 A We continued to -- part of those drafts
3096 introduced the phrasing, you don't necessarily need a
3097 test. So that's when some of the waffling had
3098 started to be introduced. So there was multiple
3099 iterations over time, documents that were being
3100 passed back and forth. And we, in the end, ended up
3101 with this document, the document that was published
3102 on August 24th.

3103 Q What was CDC's reaction to receiving the
3104 language from Admiral Giroir?

3105 A Well, at first, it was very unusual to
3106 have the Assistant Secretary for Health actually take
3107 over the task of drafting CDC guidance. So that was
3108 unusual.

3109 And we did not like the qualifiers, "do not
3110 necessarily need," because we -- again, as we talked
3111 about before, it introduces a lot of waffling into
3112 our guidance, so it's not very clear.

3113 And a number of us felt that close contacts do
3114 need to be tested. And what is not here is the issue
3115 of quarantining. Because we moved from testing close
3116 contacts, and quarantining those for 14 days, to some

3117 intermediate drafts, which were basically, you don't
3118 necessarily need a test, but quarantine for 14 days.

3119 So this particular guidance, which says you
3120 don't necessarily need a test, and doesn't reference
3121 quarantining. So we had a lot of concerns around
3122 this guidance.

3123 Q Did you express any of those concerns to
3124 anyone?

3125 A I did. The laboratory task force, the
3126 principal deputy Incident Manager Michael Beach at
3127 the time. I had many discussions around this
3128 guidance. And I had many discussions with
3129 Dr. Redfield around this guidance.

3130 Q What resulted from those conversations,
3131 where you expressed your concerns?

3132 A Dr. Redfield took our concerns, took
3133 them back to the COVID -- the White House COVID Task
3134 Force, and we had more iterations of our guidance.
3135 So, you know, it is a product -- it was a product, at
3136 the end, that was not something that we felt
3137 comfortable with.

3138 Q Did you feel that the concerns that
3139 Dr. Redfield expressed to the White House Coronavirus
3140 Task Force were heard and addressed?

3141 A My -- if I remember, in my discussions

3142 with Dr. Redfield, he was also frustrated that he
3143 wasn't able to move forward on these issues. So we
3144 discussed his frustration in not being able to make
3145 any headway, in terms of CDC's position.

3146 Q You noted that it was very unusual for
3147 someone in Admiral Giroir's position to sort of take
3148 the pen on guidance. Why do you say that?

3149 A It's usually CDC staff writes CDC
3150 guidance, not the Associate Secretary for Health --
3151 or the Assistant Secretary for Health. So that was
3152 very unusual.

3153 Q And when you say that Dr. Redfield felt
3154 like he couldn't act, what was stopping him? He was
3155 the director of CDC at the time.

3156 A Yeah, I can't speak for Dr. Redfield. I
3157 can only speak to what -- you know, our conversation.
3158 So I don't know why. I don't know.

3159 Q Did you ever discuss with Dr. Redfield
3160 why he felt like he couldn't act?

3161 A Dr. Redfield expressed his frustration
3162 to me, but I did not go into my director's reasons
3163 for what he did or didn't do. I didn't feel like
3164 that was my place.

3165 Q I think you mentioned another person at
3166 the White House who was in discussions with CDC about

3167 the testing guidance in August was Dr. Birx. Can you
3168 tell us a little more about the interactions she had
3169 with CDC on the testing guidance?

3170 A What I remember from this is that
3171 Admiral Giroir was in the lead. He was circulating
3172 documents, and I was on emails with Dr. Birx and
3173 Admiral Giroir that -- you know, where she made
3174 comments and edits to various drafts. So that's what
3175 I remember.

3176 Q I know you mentioned as well Dr. Atlas.
3177 And I would like to ask you a couple questions about
3178 him. How often did you interact with Dr. Atlas?

3179 A I never interacted with Dr. Atlas. I
3180 don't -- maybe I was on the phone at one point, but I
3181 never had a direct interaction with Dr. Atlas. Never
3182 had a conversation with him.

3183 Q Do you know the views that Dr. Atlas
3184 held regarding measures to limit the spread of the
3185 virus?

3186 A My understanding was that Dr. Atlas did
3187 not -- wanted to pull back on some of the mitigation
3188 measures, and that was widely reported in the news.
3189 That was my understanding. He wanted to pull back on
3190 some of the mitigation measures, pull back on the
3191 testing, and masks, for example. So that was my

3192 understanding.

3193 Q What did you think of his views?

3194 A They were contrary to what our current -
3195 - to our CDC guidance at the time. And so I felt
3196 they -- his views were undercutting our -- CDC's
3197 recommendations. And I thought it was -- I thought
3198 it was risky to pull back mitigation while we were
3199 still learning about transmission of the virus, and
3200 was against current recommendations.

3201 Q Is it fair to say that he advocated for
3202 a herd immunity via infection?

3203 A Yeah, I don't want to put words in
3204 Dr. Atlas's mouth. I didn't hear him say that. That
3205 certainly was the -- that's what it appeared, that by
3206 reducing mitigation -- mitigation measures, then more
3207 people would end up becoming infected, which was the
3208 theory for the herd immunity. The more people got
3209 infected, the more would be protected. And then
3210 we'll more quickly reduce transmission levels in the
3211 U.S.

3212 Q Did you ever discuss Dr. Atlas's views
3213 with anyone?

3214 A The issue occurred immediately. We
3215 discussed within the task force, sort of general
3216 discussion around, was that a viable -- did that make

3217 sense or not. And I think that the general consensus
3218 at CDC was that the number of hospitalizations and
3219 deaths to achieve herd immunity would be unacceptable
3220 to pull back all mitigation.

3221 And then not even knowing what actually herd
3222 immunity is in a changing virus like this. So the
3223 consensus opinion at CDC was -- and this was
3224 reflected in our guidance -- was that we needed these
3225 mitigation measures in place, certainly until
3226 transmission levels were lower, or we had more
3227 effective countermeasures, like a vaccine and/or
3228 therapies.

3229 Q So regarding the August testing
3230 guidance, are you aware -- and apologies if you
3231 already said this, and I missed it. But are you
3232 aware of whether or to what degree the other doctors
3233 on the White House Coronavirus Task Force provided
3234 input on the guidance?

3235 A I saw drafts with Dr. Birx's comments,
3236 Dr. Fauci's comments, and you know, obviously,
3237 Dr. Giroir's comments. So that's what I'm aware of.

3238 Q Is it a fair assessment to say that the
3239 August testing guidance didn't go through CDC's
3240 normal review process that you've described for us?

3241 A That's fair to say, yes.

3242 Q Did anyone within CDC reach out to you
3243 to express concern or confusion about the guidelines
3244 after they were posted?

3245 A There were a number of people in CDC who
3246 were unhappy about these guidelines, and wanted to
3247 express their concerns to me. I tried to have an
3248 open door, an open window. So there was a lot of
3249 concern about the guidance. And also concern of --
3250 about our partners, with whom we had a very close
3251 relationship with, and their reaction to this
3252 guidance.

3253 Q Who were those people that came to you
3254 to discuss their concerns, and what concerns did they
3255 express?

3256 A I don't remember specific names, but I
3257 remember conversations with the task force lead, epi
3258 laboratory -- the epi task force and the laboratory
3259 task force, in particular, were concerned.

3260 And of course, within the STLT task force,
3261 there's a group there responsible for contact
3262 tracing, and they were upset. So it was a general
3263 concern around the response, because one of the
3264 reasons to test close contacts was to see if they
3265 were positive, and potentially infectious, at high
3266 risk of becoming a case.

3267 So we wanted to remove them from the
3268 population, test them to see if they had other
3269 contacts that we needed to put in quarantine. But
3270 also to test them, and then break the chain of
3271 transmission. So we were -- there was a lot of
3272 dissatisfaction with the guidance.

3273 Q Did you ever speak with Dr. Schuchat
3274 about the August guidelines, if you recall?

3275 A As I said, we had weekly meetings. I'm
3276 sure I did. I don't remember the conversation.
3277 There was also a moment where she pulled away a bit
3278 from any response, and I wasn't talking to her as
3279 frequently. I don't remember any conversations with
3280 Dr. Schuchat about this particular guidance.

3281 Q You mentioned -- sorry.

3282 A I was just going to say, I'm sure I
3283 talked to her about it. I just don't remember the
3284 conversation.

3285 Q Understood. You mentioned that the
3286 guidance led to confusion with CDC's partners. Can
3287 you tell us a little more about what you meant by
3288 that?

3289 A Well, I think they disagreed, CSTE,
3290 Council of State and Territorial Epidemiologists felt
3291 like contacts should be tested and quarantined. And

3292 APHL as well, and American Public Health Association,
3293 the laboratories. So there was general disagreement.
3294 There was a lot of -- it wasn't even polite. A lot
3295 of pushback on CDC for the release of this guidance.

3296 Q What form did that pushback take?

3297 A Calls, emails. We had conversations.
3298 We had regularly scheduled meetings with the core
3299 group, CSTE, APHL, NACCHO, and ASPA, and Association
3300 For State Health Officers. So within those weekly
3301 meetings, large groups, smaller groups, there was
3302 even a core group of epidemiologists from CSTE, from
3303 the states, all expressed their concern around the
3304 guidance. And not only to me, but also Dr. Redfield
3305 as well, him directly.

3306 Q And beyond causing confusion with CDC's
3307 partners, did the changed guidance lead to public
3308 confusion?

3309 A I believe so. You know, it complicated
3310 the whole thing about contacts, and contact tracing
3311 and six feet. So these are -- it's hard to get all
3312 that into a simple message, so people can understand.

3313 So when we flip in our guidance, and change our
3314 guidance, we have to be able to be direct and clear,
3315 and explain why -- why we made a change. And so when
3316 we say, "do not necessarily need a test," it really

3317 makes it confusing, that kind of language.

3318 (Majority Exhibit No. 13 was
3319 identified for the record.)

3320 BY [MAJORITY COUNSEL].

3321 Q Let's look at the guidance that was
3322 ultimately revised and issued on September 18th,
3323 which is Exhibit 13.

3324 A Okay.

3325 Q And that guidance revised the August
3326 24th guidance, and said that if you have been in
3327 close contact with someone with COVID-19, "You need a
3328 test." And that's on page 2 of 4.

3329 Who was involved in the decision to revise the
3330 August guidance?

3331 A So we're on Exhibit 13?

3332 Q Yes, Exhibit 13?

3333 A September 18th, correct?

3334 Q Correct.

3335 A Okay. I was involved, the laboratory
3336 task force was involved. We were asked by
3337 Dr. Redfield to revise the previous guidance on
3338 August 24th, and we took that as an opportunity to
3339 update the guidance, and move it back in line with
3340 what we felt was more appropriate, which is close
3341 contacts needed tests. I think that's pretty direct.

3342 If you've been in close contact, you need a test.

3343 And you should self-isolate for 14 days. So we put

3344 the test and we put the quarantine back.

3345 Q Got it. I know previously with regard

3346 to the August 24th guidance, you felt that

3347 Dr. Redfield wasn't able to sort of revise the

3348 guidance to include language that he might have

3349 liked. Why was he, at this point in time, able to

3350 ask you and others at CDC to now revise the guidance?

3351 A There was so much pushback with CDC

3352 around the August 24th guidance that I believe he was

3353 able to take all of that input from all the various

3354 state and locals, and all their various public health

3355 partners, and then bring the revision of this

3356 guidance back into CDC, and publish it as CDC

3357 guidance.

3358 Q Got it. Was Dr. Birx involved at all

3359 with the September revised guidance?

3360 A I don't remember her involvement in

3361 this. I think what I remember was relief that we are

3362 revising this guidance, and we actually can revise it

3363 in the way we wanted to. Revise it and then put it

3364 out.

3365 Q Who ultimately drafted the revised

3366 guidance?

3367 A We sent it back to the laboratory task
3368 force, who then revised, and the epi task force
3369 cleared as well. So it was their clearance. And
3370 then Michael, my deputy, and I cleared -- revised,
3371 cleared, and then sent it up to Dr. Redfield.

3372 Q So that September version went through
3373 the standard CDC clearance process?

3374 A That's right.

3375 Q A couple questions just with regard back
3376 to the August guidance. Was there a concern that as
3377 a result of the August guidance, there would be a
3378 decrease in testing?

3379 A The concern, as I remember, was twofold.
3380 One, that contacts weren't going to be tested. And
3381 then -- but also concern of sending the message that
3382 testing wasn't important anymore. So it was really
3383 close contacts. And then with the more general
3384 message of the testing is not as important as it once
3385 was.

3386 Q Was there any resistance to releasing
3387 the revised September guidance from anyone?

3388 A Not that I remember in my role. We were
3389 happy to revise that guidance, and get it out as
3390 quickly as possible. So I don't recollect any
3391 resistance, at least within CDC with that guidance.

3392 Q What about any resistance from outside
3393 CDC?

3394 A I don't remember resistance. Again, we
3395 had spent weeks working on this guidance, the August
3396 24th guidance. And then a lot of pushback. And then
3397 was given the opportunity to revise that. So I think
3398 we revised, and then moved on.

3399 Q So you don't know -- is it correct that
3400 you're unaware of anyone from the White House or HHS
3401 reaching out to Dr. Redfield about the revised
3402 guidance and any resistance to it?

3403 A I'm not aware.

3404 Q Do you believe that this revised
3405 September guidance was the better guidance at the
3406 time?

3407 A Yes.

3408 Q Why is that?

3409 A Because it reverts back to our previous
3410 guidance. It was written in a more -- in our usual
3411 CDC tone, removed the modifiers of not necessarily.
3412 And I felt was more scientifically correct, in that
3413 it was promoting testing of groups like those who are
3414 close contacts.

3415 Q And I think you've alluded to this, but
3416 why did it take the amount of time that it did to

3417 issue the revised guidance in September?

3418 A Well, we put out the August 24th
3419 guidance, and then there was a lot of pushback. I
3420 don't remember the timing, other than there was some
3421 uncomfortable days with a lot of pushback from
3422 various groups around our guidance. And then we
3423 needed the green light to change. So I don't
3424 remember why there was the time period.

3425 Q Okay. Let's move on. In October 2020,
3426 the New York Times reported that the White House had
3427 blocked an order drafted by CDC in September
3428 requiring all passengers and employees to wear masks
3429 on all forms of public and commercial transportation,
3430 including airplanes and trains and buses and subways
3431 and transit hubs like airports. Do you recall that?

3432 A Well, I recall the -- yes, the article
3433 that you mentioned.

3434 Q Do you recall the order that CDC had
3435 drafted?

3436 A I do remember the order. Things related
3437 to public transportation, conveyances, masking on
3438 conveyances, a lot of that work was really done
3439 between the head of our Division of Global Migration,
3440 Marty Cetron. Marty was super-engaged with
3441 Dr. Redfield, HHS, Department of Transportation, on

3442 these issues.

3443 And so that was really -- and there were a lot
3444 of conversations between Marty and Dr. Redfield and
3445 HHS. And in response, Marty was the expert, and a
3446 very complicated regulatory space. I was happy for
3447 Marty to take that piece, and then I was running the
3448 rest of the response.

3449 Q So just to confirm, do you have any
3450 knowledge why that order didn't move forward?

3451 A I do not.

3452 Q On October 19, 2020, the CDC published
3453 guidance that recommended, but did not require the
3454 use of masks on public transit. Are you familiar
3455 with that guidance?

3456 A I am not familiar.

3457 Q That --

3458 A I knew about the guidance, but I don't
3459 know anything more than that.

3460 Q And again, that might have been
3461 something like you just mentioned that Marty Cetron
3462 worked on?

3463 A That's right.

3464 Q Director Redfield, Dr. Redfield,
3465 publicly called for universal adoption of masks in
3466 July. He wrote that, "At this critical juncture,

3467 when COVID-19 is resurging, broad adoption of cloth
3468 face coverings is a civic duty, a small sacrifice
3469 reliant on a highly effective low tech solution that
3470 can help turn the tide favorably in national and
3471 global efforts against COVID-19."

3472 Do you agree with that?

3473 A I do.

3474 Q Did you have any role in Dr. Redfield's
3475 decision to publicly endorse universal masking in
3476 July 2020?

3477 A I did not. I saw the work was being
3478 discussed, so I rolled on as incident manager. I
3479 don't recall exactly who made that statement, but we
3480 were -- when I rolled on as incident manager, we were
3481 moving in that direction already.

3482 Q In May 2020, CDC released guidance for
3483 bars and restaurants, and subsequently released
3484 revisions to that guidance in September, and then
3485 again in November. Are you familiar with that?

3486 A I'm familiar with the guidance and --
3487 not necessarily May. I was a bit more involved in
3488 September, meaning I remember the guidance and
3489 reviewed it.

3490 Q The Wall Street Journal reports in
3491 October of 2020 that Office of Management and Budget,

3492 or OMB, Director Russell Vought and other OMB
3493 officials had urged Dr. Redfield to remove or change
3494 social distancing guidance to bars and restaurants.

3495 The final version retained a recommendation to
3496 social distance, but removed more specific language
3497 advising people to stay six feet apart, if possible.
3498 Are you familiar with that change?

3499 A I vaguely remember this, yes. And --
3500 yes. I don't have detailed knowledge of it, but I do
3501 remember it.

3502 Q Do you recall who directed the removal
3503 of the social distancing specifics?

3504 A I don't recall.

3505 Q And do you recall how it was
3506 communicated to you?

3507 A I don't. I really don't. Michael, my
3508 principal deputy, Michael Beach, clearing a lot of
3509 documents, was organizing a lot of the guidance
3510 pieces. So, if anything, Michael told me about it.

3511 Q Do you recall what your reaction was to
3512 that suggestion?

3513 A You know, it doesn't make a lot of
3514 sense, you know, indoor space, crowded indoor space.
3515 So that's my reaction, that it doesn't seem to make
3516 sense. But in -- and this is all dependent upon

3517 community transmission. A lot of these -- a lot of
3518 their guidance, we're more flexible when there's low
3519 community transmission. But when there's high
3520 community transmission, we're much more conservative.

3521 Q Do you recall if anyone at CDC expressed
3522 concern or an objection to any of those changes?

3523 A I don't.

3524 Q In a December 16, 2020 New York Times
3525 article, Kyle McGowan said that he and Dr. Redfield
3526 negotiated with OMB Director Vought over social
3527 distancing guidelines for restaurants, as Mr. Vought
3528 argued that specific spacing recommendations would be
3529 too onerous for businesses to enforce. Mr. McGowan
3530 is quoted in the article saying, "It is not the CDC's
3531 role to determine the economic viability of a
3532 guidance document."

3533 Do you agree with Mr. McGowan's statement about
3534 the CDC's role?

3535 A Yes, I do.

3536 (Majority Exhibit No. 15 was
3537 identified for the record.)

3538 BY [MAJORITY COUNSEL].

3539 Q I want to show you a document that has
3540 been marked Exhibit 15. For the record, this is
3541 Bates stamped SSCC-0034459-34462. And while you're

3542 pulling that up, this is a September 8th, 2020 email
3543 thread regarding testing strategy considerations for
3544 K-12 schools that include you and various other CDC
3545 employees.

3546 In the first email in time, which is on the
3547 page that's ending 61, Erin Sauber-Schatz -- I'm
3548 probably saying her name wrong, but I know you
3549 mentioned her previously. She shares a copy of
3550 interim considerations for K-12 school
3551 administrations for COVID-19 testing, at 11:41 a.m.
3552 And that's just up a couple pages, on the page ending
3553 59. You replied, asking for the latest version, and
3554 saying, "Have to do another summary of what we are
3555 thinking to HHS. Will not share doc."

3556 Do you see that?

3557 A I do.

3558 Q Is that one of those summaries that you
3559 mentioned at the outset of our conversation today?

3560 A Yeah, that's right.

3561 Q And could you remind us about how
3562 frequently you provided these summaries to HHS?

3563 A We had a weekly meeting with HHS around
3564 our upcoming guidance. So at least weekly, we were
3565 in touch with HHS about upcoming guidance.

3566 Q Do you recall who requested the

3567 summary -- first, in this specific sense, with regard
3568 to this email?

3569 A I really don't. I got requests all the
3570 time, frankly. You see us going back and forth,
3571 here's the latest, you know, current version. Okay.
3572 We were -- I don't know who I was sharing with.

3573 Q Do you recall if you provided the
3574 summary?

3575 A I'm sure I did. I mean, this was -- it
3576 looks like to me, an ask for me to provide the
3577 summary. So this was part of my job, to see where we
3578 are with -- this is really around testing. I'm not
3579 sure. I'm sure I did share it, but I'm not sure who
3580 I was sharing with.

3581 Q Do you recall what the result of sharing
3582 your summary was? For instance, did HHS provide any
3583 feedback on the guidance?

3584 A I'm sorry, I don't remember.

3585 Q Do you recall what you meant when you
3586 said, "will not share doc"?

3587 A I think we're trying to, as much as we
3588 could, to not share our whole guidance documents
3589 until -- as I said before, we were trying to share
3590 summaries of what we were doing, or excerpts or --
3591 dealing with a particular test in question.

3592 This looks like it was related to testing in
3593 K-12. And whoever was asking had a particular
3594 comment, we were trying to share, but not give our
3595 whole document out to outside of CDC. So that was
3596 just part of general practice, to try to hold our
3597 documents within CDC, our draft documents within CDC
3598 until we were ready to publish. And documents change
3599 all the way up until the final day.

3600 Q Why did you want to share only
3601 summaries, and not entire documents outside of CDC?

3602 A Well, first of all, we were trying to
3603 maintain a buffer between CDC guidance versus having
3604 people from outside of CDC have too much input in our
3605 guidance. We were trying to maintain that
3606 independence that we felt we needed.

3607 And, frankly, the other piece is that we were
3608 having documents leaked left and right. And so we
3609 were also trying to not have, you know -- on whatever
3610 the front of whatever paper, our draft guidance,
3611 because then -- which happened quite frequently, that
3612 we would have a document leaked. This is what CDC is
3613 going to say. And then actually, it was just a
3614 draft, and we were still in internal discussion. So
3615 we didn't want our guidance prematurely leaked, and
3616 we also wanted to maintain an independent buffer.

3617 Q Later in the email chain, you wrote to
3618 Dr. Redfield saying, "You ok with me sharing this? I
3619 can clean up the comments." What did that mean?

3620 A Probably asking Dr. Redfield -- and
3621 again, I don't remember this particular document.
3622 But I want to make sure that he is okay with sharing
3623 information outside of CDC. So I think that, again,
3624 I wanted to make sure that before I share things,
3625 particularly something related to K-12 schools, which
3626 is a hot topic, that the director is aware that I'm
3627 sharing.

3628 Q Do you recall his response, by any
3629 chance?

3630 A No, I don't. Usually in these cases, he
3631 would be okay, as long as, in my judgment, if I
3632 thought it was okay. But there's a pro forma of
3633 making sure that he's aware, and does he have any
3634 concerns.

3635 Q Do you recall if CDC ended up releasing
3636 that K-12 strategy or guidance?

3637 A I'm sure we did. I'm not sure when, but
3638 I'm sure we released it.

3639 Q But you don't recall if it contained any
3640 changes suggested by HHS?

3641 A No, I don't recall.

3642 Q On March 14, 2020, Director Redfield had
3643 issued a no sail order for cruise ships due to the
3644 risk of cruise ship travel introducing, transmitting,
3645 or spreading COVID-19. The cruise industry
3646 voluntarily announced it would suspend sailing on
3647 that same day. Did you play any role in issuing that
3648 initial no sail order for cruise ships?

3649 A I did not.

3650 Q The order, originally set to last 30
3651 days, was extended three times through October 31,
3652 2020. Did you play any role in any of the extensions
3653 of that order?

3654 A I did not. This is really a
3655 conversation between Dr. Cetron and Dr. Redfield.

3656 Q Okay. Are there any guidance documents
3657 that we haven't discussed that were changed, to your
3658 knowledge, at the request of any outside agencies?

3659 A Not to my knowledge.

3660 Q Or any that were changed at the request
3661 of the White House?

3662 A Not to my knowledge.

3663 Q I would like to switch gears a little
3664 bit, and come back to a topic that you touched on
3665 briefly before, the MMWRs, or as I understand the
3666 full name to be Morbidity and Mortality Weekly

3667 Reports. As incident manager, did you have any role
3668 in the publication of MMWRs?

3669 A I was part of the clearance chain of
3670 MMWRs. So those publications would come through
3671 Michael and I, my deputy. One of us would review,
3672 make comments, edit, send things back. We were part
3673 of the clearance chain.

3674 We also were part of the early discussions
3675 around trying to push our various task forces into
3676 writing up an MMWR, based on that outbreak, or trying
3677 to reach out to states that we knew actually had an
3678 interest in the investigation, and asking them to
3679 write that up, so -- and also trying to, within the
3680 MMWR -- we were publishing four or five MMWRs a week,
3681 or even more, trying to work with the editors on
3682 timing of when MMWRs came out, meaning trying to lump
3683 certain common themes together.

3684 If we were releasing school guidance on
3685 Thursday, we might try to release MMWRs related to
3686 school, if there were any in the hopper, on that same
3687 day, to have sort of a school theme on that day. So
3688 that's how we were involved in the clearance chain.
3689 And also trying to make sure we were synced up with
3690 the publication.

3691 Q To be clear, as part of your review

3692 process, you were reviewing full drafts of MMWRs, as
3693 opposed to -- we've seen just those short summaries.

3694 A That's right, full drafts.

3695 (Majority Exhibit No. 17 was
3696 identified for the record.)

3697 BY [MAJORITY COUNSEL].

3698 Q Let's look at a document that's been
3699 marked as Exhibit 17. For the record, this is Bates
3700 stamped SSCCManual-000064 to 70. And this is a July
3701 27th, 2020 email chain with Michael Beach, who you've
3702 mentioned, Charlotte Kent, and you.

3703 In the first email of this chain, which is on
3704 the page -- or starts on the page ending 68, and goes
3705 a couple pages, Dr. Kent, on July 26th, shared an
3706 early release summary of an MMWR regarding the
3707 COVID-19 outbreak at an overnight summer camp in
3708 Georgia, with a pretty large distribution group,
3709 including you. Do you see that?

3710 A I do.

3711 Q And then on July 27th, this is on the
3712 page that ends in 65, Paul Alexander responded at
3713 1:53 a.m. Who is Paul Alexander?

3714 A Paul Alexander, to my knowledge, was a
3715 special adviser to the director of ASPA.

3716 Q Do you know his role at the time of this

3717 email?

3718 A I think he was the special adviser role.
3719 All I know, he was up at HHS with ASPA. So I learned
3720 that he had taken on the special adviser role with
3721 several of these emails that he started sending.

3722 Q Prior to this email, had you had any
3723 interaction with Dr. Alexander?

3724 A Yeah, I didn't know Dr. Alexander before
3725 this series of emails. He commented on a number of
3726 different MMWRs. I'm not sure if this is the first
3727 one he commented on, but I did not know him before he
3728 started commenting on these MMWRs.

3729 Q Do you know for about how long he
3730 commented on MMWRs?

3731 A It was several weeks, it felt like, that
3732 he was engaged. And Charlotte would send out
3733 summaries to a pretty large group of people,
3734 including HHS, as we discussed before. Paul
3735 Alexander started receiving these summaries, and
3736 then, I guess in July, started having these types of
3737 responses.

3738 Q And, generally speaking, how were these
3739 responses from Dr. Alexander received at CDC?

3740 A I think we were confused -- confused
3741 someone from ASPA, you know, reaching down, making

3742 very specific edits or questions, having specific
3743 questions around the MMWRs that were coming out,
3744 almost disagreeing with some of the findings behind
3745 the MMWRs.

3746 And then they would have a political tone, as
3747 if we were undermining the President, we were
3748 undermining Dr. Redfield, we were doing something --
3749 very accusatory in his tone. So it was initially
3750 confusion, and then anger. And then it was -- and
3751 then Charlotte was handling this, and we were all
3752 trying to figure out what to do here.

3753 Charlotte handled it very well, was very
3754 professional in her responses. And tried to
3755 understand, was there an issue of clarity, or was
3756 there a scientific technical issue here that needs to
3757 be addressed. And then would write back a nice,
3758 professional response.

3759 So I felt that Charlotte, the editor of the
3760 MMWR, did a really nice job. But, you know, a number
3761 of these emails were flowing through. And I raised
3762 it to Dr. Redfield. And he pretty much said ignore
3763 Paul. And, you know -- and we sort of moved on.

3764 Q So by ignoring Paul, or Dr. Alexander,
3765 that means essentially don't implement his comments
3766 in the MMWRs, don't respond to his emails?

3767 A Well, I was asking Dr. Redfield, who is
3768 this person? And should we be -- what is this? Are
3769 we really supposed to take this level of input from
3770 ASPA? And he said, no, ignore that. So that was the
3771 general comment to me.

3772 Charlotte was doing the professional response,
3773 which was taking all of the comments from Paul
3774 Alexander, and looking to see if there was anything
3775 there that made sense that actually would improve the
3776 clarity of our message. And then writing a note
3777 back.

3778 So I looked at this as just a waste of time,
3779 and felt that editorial staff with MMWR were all over
3780 it. They could handle this. And so I intermittently
3781 would see these emails, and I personally ignored and
3782 kept on.

3783 Q And I think we're at our hour, but I
3784 just have two or maybe three questions, if that's
3785 possible.

3786 So in Dr. Alexander's response that we were
3787 just looking at, his response included an eight-point
3788 list, enumerating various things he would like to be
3789 altered or included in the report. Just to confirm,
3790 with regard to this particular example, did you ever
3791 discuss that list or those enumerated concerns with

3792 anyone?

3793 A I'm looking at the list now. You know,
3794 I discussed this with Charlotte and Michael Beach.
3795 And Charlotte discussed with the authors and -- we
3796 can do this line-by-line, but I read this, and
3797 discussed with Charlotte. And she wrote a response
3798 back, talked to the authors, and tried to see if
3799 there was anything here that would be useful, or
3800 would improve the clarity of the manuscript that was
3801 coming out.

3802 Q And then Dr. Kent responded, "We do not
3803 normally share. Done once before after discussion
3804 with Dr. Schuchat. Only comfortable if she
3805 approves." What do you understand Dr. Kent's concern
3806 about sharing to mean?

3807 A Sharing the whole draft of the Georgia
3808 camp report. Again, we would normally share
3809 summaries, high level summaries. So the way I read
3810 this, this is about sharing the whole document. And
3811 Charlotte is saying, we don't want to do this. Only
3812 if Dr. Schuchat would approve.

3813 Q And is part of the reason you don't want
3814 to share the whole document is to protect the
3815 integrity of the document?

3816 A That's right, to protect the integrity

3817 of the document, or scientific integrity of the
3818 publication and MMWR. And to provide a buffer from
3819 any political interference in the editorial process.

3820 Q Okay.

3821 [Majority Counsel]. We can go off the record
3822 here.

3823 (Recess.)

3824 BY [MINORITY COUNSEL].

3825 Q I just have a few questions. You said
3826 Dr. Redfield told you to ignore Dr. Paul Alexander;
3827 is that correct?

3828 A That's right.

3829 Q Do you know Dr. Kent well?

3830 A I -- well, professionally, yes.

3831 Q Do you respect her professional opinion?

3832 A I do.

3833 Q In a transcribed interview, which feels
3834 like it was two years ago -- it might have been --
3835 with Dr. Kent, she said that Dr. Paul Alexander never
3836 influenced or affected the integrity of an MMWR. Do
3837 you agree with her assessment?

3838 A I agree.

3839 Q Thank you. You also said -- switching
3840 gears a little bit, you said it's not the CDC's job
3841 to take economic considerations into account while

3842 drafting your guidance. Is that a fair
3843 characterization of your testimony?

3844 A It is.

3845 Q Whose job do you think it is to take
3846 those kinds of factors into account?

3847 A I think it's HHS, it's the White House,
3848 it's the larger U.S. government.

3849 Q So the White House, reading a CDC
3850 guidance, and saying, this might put less food on the
3851 table of Americans is an okay thought for them to
3852 have?

3853 A Absolutely. The White House can have
3854 their -- I mean, they're looking at a bigger problem.

3855 Q Do you think state and local leaders,
3856 governors, mayors, county executives can also make
3857 those kinds of considerations?

3858 A They can.

3859 Q All right. Thank you. My final
3860 question. Are there any metrics currently the CDC is
3861 measuring that would result in ending the pandemic or
3862 moving it into an endemic phase?

3863 A Vaccination rates.

3864 Q What would that metric be?

3865 A Your specific question was around ending
3866 the pandemic?

3867 Q Yeah.

3868 A So I mean, we'll follow multiple metrics
3869 to plot the course of a pandemic, including cases,
3870 hospitalizations, and deaths. And then for -- on the
3871 prevention side, one of our biggest tools really is
3872 vaccination. And of course, natural infection is
3873 part of that, because there is immune protection
3874 after natural infection.

3875 So that moment of crossing a critical threshold
3876 for -- that the majority of the people are protected,
3877 or won't be able to have ongoing transmission, we
3878 thought it was going to be in the 70 percent range.
3879 And then we had Delta and then we had Omicron, each
3880 one more transmissible than the other. So it really
3881 depends on the virus.

3882 And so I can't say an absolute number. The
3883 more transmissible the virus is, the higher -- well,
3884 you need higher and higher models of protection. On
3885 the other course, there's waning protection, both
3886 from natural infection and from vaccination. So it's
3887 an area of active research.

3888 Q So there isn't a number of cases per
3889 week, number of hospitalizations per week, number of
3890 deaths per week, or vaccination rate, that is -- we
3891 need to hit this in order to move on?

3892 A We evolved a bit, and are still evolving
3893 and thinking through what that number would be. If
3894 you look on our website right now, we have yellow,
3895 orange, red, looking at community transmission rates.
3896 And blue would be 10 per 100,000 over seven days,
3897 which would be really low transmission.

3898 So we would love to be in that range. And if
3899 we put it blue is that we could really roll back a
3900 lot of mitigation measures. If you look at the
3901 metrics right now, the whole country is still red,
3902 and we still have very high levels of transmission.

3903 So this is -- it's an area of active
3904 investigation, trying to figure out, well, even
3905 though we have a lot of transmission right now, our
3906 hospitalizations as well, but hospitalizations are
3907 coming down, deaths are coming down. I don't know
3908 what the new normal, the endemic metrics will be. I
3909 think we're still learning with each new variant.

3910 Q Do you think it will become endemic?

3911 A It's a loaded term, endemic, but meaning
3912 that the virus could change. I hope this is our last
3913 big wave with Omicron. And I hope that we will not
3914 have new variants that will cause a lot of new cases,
3915 and we'll have more cases and hospitalizations. But
3916 I think we need to go through another season, through

3917 the spring, summer, and fall to see where we are.
3918 But we have a lot more immunity than we had two years
3919 ago for sure.

3920 Q Has the CDC done a study on natural
3921 infection or natural immunity?

3922 A Yeah, we are collecting information on
3923 reinfections, and trying to look at -- in our
3924 serology studies, looking at where to detect between
3925 the actual infection -- so we can look at the
3926 prevalence of those who have been naturally infected
3927 versus those with vaccinations. So there are ongoing
3928 studies to try to better understand that.

3929 Q Are there any -- I don't know if you've
3930 seen what they've looked at. Are there any
3931 preliminary results, anything suggesting -- because I
3932 know -- I think it's on your website, for quarantine
3933 and isolation, it's either vaccinated or had a
3934 COVID-19 infection within the last 90 days or
3935 something. Is there anything supporting that a
3936 natural infection is only worth 90 days, or is it
3937 more or less? How did you come to that 90-day
3938 number?

3939 A Well, through studies that we did, and
3940 studies that other people did to look at that. One
3941 of the issues is that we know that after natural

3942 infection, you have some protection. The question
3943 is, how long does it last, so -- and we are starting
3944 to see reinfection rates with the original Wuhan
3945 virus. We're starting to see Alpha reinfections
3946 start to increase after about 90 days.

3947 So that's why we said the 90 days. Now, we
3948 have people who actually are have natural infection.
3949 And on top of that, have vaccination as well, and
3950 then get another dose, and then get another dose. So
3951 the more you're vaccinated, along with natural
3952 infection, the more your immune response is
3953 protecting you. That whole 90-day thing, I think as
3954 well, is something that's evolving. We're still
3955 looking at the latest data on that.

3956 Q And then you were talking about, like,
3957 the vaccination rate that we wanted to get to early
3958 on. And Omicron kind of changed that. Delta kind of
3959 changed that. Herd immunity is kind of a dirty word,
3960 but I think it's well established in epidemiology
3961 that it is real. Getting to herd immunity matters.
3962 Do you have any estimate on what herd immunity for
3963 this looks like, with both natural infection and
3964 vaccinated protection?

3965 A Yeah, I don't have a number. And it's -
3966 - we have such a difference in vaccination rates and

3967 natural infection rates across the country. It's not
3968 very uniform, a lot of heterogeneity. So, you know,
3969 herd immunity, as you're talking about it for the
3970 U.S., is that it's complex, because of just the
3971 various levels of vaccination coverage and natural
3972 infection.

3973 So I think without a new variant, with natural
3974 infection or vaccine -- vaccination, you know, it
3975 should be -- the more we vaccinate, the closer we're
3976 going to -- the more protection you have, and the
3977 less likelihood we're going to have another surge.
3978 So that's a lot of words for not giving you a number,
3979 but I don't think I have a number.

3980 [Minority Counsel]. I appreciate it. Thank
3981 you, Dr. Walke. That's all we have.

3982 [Majority Counsel]. Thanks, Dr. Walke. I want
3983 to keep asking about some questions about MMWRs, as we
3984 were talking about during our last session. If we
3985 could look at Exhibit 19.

3986 (Majority Exhibit No. 19 was
3987 identified for the record.)

3988 The Witness. Okay.

3989 BY [MAJORITY COUNSEL].

3990 Q This is another version of the email
3991 thread that begins with Dr. Kent sending a summary of

3992 the Georgia summer camp MMWR summary on which you're
3993 CC'd. In the next email up in the thread, Dr. Kent
3994 wrote to Michael Iademarco saying, "Amanda called me
3995 to say, requested delay by Dr. Redfield and HHS.
3996 Delay will make for better timing."

3997 Were you aware of that request?

3998 A No. I'm trying to figure out how much
3999 of a delay it was. You know, we were shifting
4000 publication of MMWRs constantly. It would sort of
4001 depend on telebriefs, or was the manuscript actually
4002 ready to go? Was there a last-minute edit? So it
4003 was a fluid -- MMWRs, within the week, they were
4004 going to be published. Sometimes it was a bit fluid
4005 on when exactly they would come out.

4006 Q Let me ask you this. Do you recall that
4007 Dr. Redfield was scheduled to testify before Congress
4008 on July 31st, that Friday?

4009 A I don't recall, but --

4010 Q Do you recall whether the delay was
4011 related to his testimony?

4012 A I don't recall. I don't recall.

4013 Q Charlotte Kent told us during a
4014 transcribed interview that the reason it was delayed
4015 was so that it would be released after the hearing.
4016 The hearing was in the morning, and the article was

4017 embargoed to be released in the early afternoon.

4018 Does that sound familiar to you?

4019 A It doesn't sound surprising to me, so

4020 I -- yeah, I don't --

4021 Q But it doesn't sound familiar?

4022 A It doesn't sound familiar.

4023 Q Are you aware of any other MMWRs that

4024 were delayed at Dr. Redfield's request?

4025 A No. Again, these were -- we put out a

4026 lot of MMWRs during that period of time, so I -- it

4027 was a machine that was going on all the time. So I

4028 don't remember specific instance that Dr. Redfield

4029 delayed an MMWR publication.

4030 Q Are you aware of any instances in which

4031 an MMWR was delayed at HHS's request?

4032 A I am not. I'm searching in my memory.

4033 I am not.

4034 (Majority Exhibit No. 20 was

4035 identified for the record.)

4036 BY [MAJORITY COUNSEL].

4037 Q Let's look at another document, Exhibit

4038 20, SSCCManual-000046 to 50. And this is a July 28,

4039 2020 email chain that at various times includes you

4040 and Dr. Kent and Soumya Dunworth, discussing edits to

4041 the Georgia summer camp MMWR.

4042 On the page that ends in 47, Dr. Kent emails
4043 you saying, "Dr. Redfield requested that the Georgia
4044 camp report state that the camp followed 'some' CDC
4045 suggestions. The authors think this mischaracterizes
4046 what was done. They followed all provisions in the
4047 Georgia Executive Order, and all but two of the CDC
4048 suggestions. Would you be comfortable with the
4049 attached edits, which more carefully characterize
4050 what was done?"

4051 Just to clarify, was the change requested by
4052 Dr. Redfield at odds with the change Dr. Kent was
4053 asking you to approve in her email?

4054 A I'm just looking at the email now.

4055 Q Sure.

4056 A I remember this conversation. So ask me
4057 your question again? I apologize.

4058 Q No worries. I was hoping to clarify,
4059 because I myself, from reading this, am not entirely
4060 clear. Is the change that is purportedly being
4061 requested by Dr. Redfield at odds with the change
4062 that Dr. Kent was asking you to approve?

4063 A Yeah. So I think Charlotte was trying
4064 to find a middle ground here between --
4065 Dr. Redfield's point is that the camp didn't follow
4066 all the CDC's guidance, which would include masking,

4067 universal cloth face coverings, and ventilation.

4068 So I think -- and the authors were saying they
4069 didn't like the word "some." And so I would have to
4070 see the edit. But Charlotte was trying to be very
4071 precise in the manuscript. I mean, also it felt like
4072 the authors here were being a bit unreasonable,
4073 because CDC was suggesting universal face coverage.
4074 Dr. Redfield, I think, had a point.

4075 So Charlotte was trying to be as scientific as
4076 possible in the edit, to reflect Dr. Redfield's
4077 concern, and also the authors'. So I think this
4078 was -- Charlotte didn't want to use "some," the
4079 authors didn't want to use "some," but she understood
4080 Dr. Redfield's point.

4081 Q Do you know why Dr. Redfield wanted that
4082 change? Why did he want to use the word "some"?

4083 A Well, I think the Georgia camp was
4084 important because there was a lot of transmission in
4085 this camp, and there was some mitigation measures
4086 that were in place -- that were put in place.

4087 But the question is, could have this been
4088 prevented or not if they followed all of our
4089 guidance? And there was a lot of transmission in
4090 this particular camp. And so it's -- the Georgia
4091 camp, many points to the Georgia camp manuscript, one

4092 of them, the transmission between children, as far as
4093 CoV-2.

4094 The specific question was the mitigation
4095 involved in this instance. And we weren't able to
4096 really say that CDC's guidance would have been
4097 helpful in preventing this transmission, stopping
4098 this outbreak, because there's intermittent use of
4099 cloth face coverage. This was available -- because
4100 we were trying to figure out, first of all,
4101 incredibly important manuscript, because a lot of
4102 transmission in the camp setting, kids to kids, okay?
4103 So there can be transmission among children or young
4104 school-aged children in this setting.

4105 And so can we actually take the results of the
4106 Georgia camp and make some inference to schools or
4107 not to school settings? So that's what was happening
4108 in the background. First of all, great
4109 documentation, big outbreak in a camp.

4110 So then the question becomes, why did it
4111 happen? And if they had mitigation measures in
4112 place, which mitigation worked, which didn't work?
4113 And they may have used -- they may have put in all
4114 the measures that the State of Georgia said to use,
4115 but our current -- our CDC recommendations, we were
4116 really interested in, if you utilized all of our

4117 recommendations, including consistent use of face
4118 coverings, would transmission -- would that have been
4119 prevented or not.

4120 And so I think that was the point here. And
4121 pointing out to the readership that, okay, they did
4122 follow all of these mitigation measures, but they
4123 didn't follow these others.

4124 So it's trying to be precise, so that people,
4125 when they read it, another camp could actually read
4126 this and say, okay, maybe if we put all these
4127 measures in place, we'll prevent transmission.

4128 Q Thank you. That's helpful. And we can
4129 set that document aside. I wanted to ask you a
4130 couple other questions about Dr. Alexander, who we
4131 were speaking about before. Do you know, did he ever
4132 attempt to stop publication of any MMWR?

4133 A You know, he was angry, in general,
4134 about our MMWRs, at least some of our MMWRs. And so
4135 I think, yes, there was a time where he asked to
4136 review all MMWRs, or to have more oversight in the
4137 publications of MMWRs.

4138 Q How was that effort by Mr. Alexander --
4139 Dr. Alexander communicated to you?

4140 A You know, Charlotte was discussing with
4141 Michael. We're a small group. And so I think I

4142 learned about it through Charlotte and Michael. I
4143 don't remember exactly.

4144 Q What was your reaction to hearing about
4145 Dr. Alexander's attempt to review and/or stop MMWR
4146 publication?

4147 A I thought it was ridiculous, and such an
4148 overreach. And how dare he. And this would be the
4149 end of the MMWR, and all of our scientific integrity
4150 if he was allowed to review and edit CDC
4151 publications. This was -- this would be a red line,
4152 I think, for all of us.

4153 Q And why, in your words, would that be
4154 the end of MMWRs?

4155 A Then it's not a scientific journal
4156 anymore. It's not an independent -- it has no
4157 independence. Then it's totally tied to political
4158 whims of whoever is in -- whatever administration is
4159 elected at that moment. And science, at least the
4160 MMWR, the flagship of our science, publication of our
4161 science, we feel very strongly should be independent
4162 of that political process.

4163 Q Did you have any discussions about
4164 Dr. Alexander's attempt to stop publication of the
4165 MMWRs with anyone?

4166 A I think ongoing discussions with Michael

4167 Beach. Michael Beach and I were -- we would see each
4168 other every day for over a year-and-a-half. So we
4169 knew each other well. We supported each other. So
4170 this was -- so this attempt to stop publication of
4171 the MMWR, we certainly talked about and rolled our
4172 eyes, and thought this is -- this is -- yeah, crazy
4173 to do this. And tried to move on to more substantial
4174 matters.

4175 Q Did you have any discussions about
4176 Dr. Alexander's efforts with Dr. Redfield?

4177 A You know, Dr. Redfield and I, as I said
4178 previously, had a discussion about Paul Alexander. I
4179 expressed my reservations. He told me to ignore it,
4180 and that's what I did. You know, and tried to let
4181 Charlotte handle it. And I had plenty to work on.

4182 Q So we talked earlier about your
4183 priorities when you first became incident manager in
4184 July 2020. I would like to now ask if you could
4185 please tell us what your priorities were in the fall
4186 of that year, starting perhaps in September 2020.

4187 A September of 2020, I mean, obviously,
4188 health was paramount, but I think September of 2020,
4189 the school year was back in session. We were
4190 monitoring the impact of our mitigation measures, the
4191 guidance in schools, the institutions of higher

4192 education back in session. We were monitoring,
4193 evaluating the impact of our recommendations for
4194 colleges, universities.

4195 I'm trying to think through. We certainly were
4196 worried about a fall surge, since the winter surge
4197 and people move back indoors, it got colder. And so
4198 we were thinking through how to prepare for a
4199 potential increase in cases, and what kind of -- what
4200 we can do really to help state and locals in
4201 preparing a potential kind of surge. So I think we
4202 were reviewing most of our guidance at that time in
4203 that process. That's what I remember.

4204 Q Sure. What were some of those steps or
4205 efforts that you mentioned, in terms of thinking
4206 about how to prepare for an upcoming fall or winter
4207 surge?

4208 A Well, part of it was -- a lot of it was
4209 around testing. We had just gone through a time when
4210 testing reagents were in short supply, so we were
4211 still working closely with the test and diagnostic
4212 working group within HHS, trying to make sure that
4213 states had the appropriate reagents and assays in
4214 place.

4215 We also -- if I remember, antigen testing, I
4216 believe, was starting to -- point of care antigen

4217 testing was becoming available. So we were busy
4218 trying to do studies related to point of care
4219 testing, trying to understand how we could utilize
4220 that antigen testing in a pandemic.

4221 Looking at hospital -- or hospital infection
4222 prevention guidance, to updating that. Seeing if
4223 there were -- it seems like such a long time ago, to
4224 be honest. So you know, I think it was mostly around
4225 resources, PPE, testing, looking across our
4226 guidances, to make sure that we have those plans in
4227 place.

4228 And then the vaccine was coming, so we knew
4229 that was maybe available in the winter, early next
4230 year. There was a lot of work in preparation for
4231 distribution of vaccine during that time as well. So
4232 that was a big component of it, trying to think
4233 through when vaccines became available, who would
4234 actually receive the first doses, how we would roll
4235 it out. Operation Warp Speed. And how do we
4236 interact with them. So, yeah, that's what I remember
4237 from that time.

4238 Q Thank you. That's very informative.
4239 How were those priorities that you just listed or
4240 named determined? Did you set those priorities as
4241 incident manager or some other way?

4242 A I believe there was -- you know, there
4243 was -- what's the word -- you know, we had a very --
4244 I, the leadership, had a very dynamic relationship
4245 with all the task forces. And we met all the time.
4246 So one-on-one at 5:30, so we had a list of
4247 priorities.

4248 And so on a regular basis, we were thinking
4249 through, okay, what's coming up in the next week,
4250 what's coming up in the next couple of months, where
4251 are we with our holidays? Last fall break, we had a
4252 surge. We were ready for that with our comms. So
4253 just a lot happening.

4254 So it was -- I set overall priorities that a
4255 lot of those priorities were coming from the task
4256 forces, as they were thinking through their own
4257 specific subjects about what we need to do in the
4258 next few months.

4259 Of course, we were having conversations with
4260 Dr. Redfield, and Dr. Redfield was having
4261 conversations with HHS and the White House. And I
4262 was meeting regularly with Dr. Birx. So a lot of
4263 interest in terms of what we should be working on,
4264 you know, right now, and what we should be thinking
4265 about three months from now.

4266 Q Were those priorities that you discussed

4267 successfully carried out or implemented?

4268 A We surged in the winter of 2020, so we
4269 weren't very successful in terms of prevention,
4270 interrupting transmission. So, yeah, we put our
4271 guidance out, mitigation measures out, made our
4272 recommendations. And then the fall and winter surge
4273 really overwhelmed us. And then we got back into
4274 crisis mode of trying to help state and locals with
4275 crisis care in hospitals, yeah.

4276 Q Why do you think there was that lack of
4277 success in interrupting transmission in connection
4278 with the winter surge?

4279 A Well, I mean, first of all, it was a
4280 pandemic, still is. And we didn't have immunity,
4281 even natural infection or vaccines, as we talked
4282 about before, to blunt transmission. And we had
4283 uneven take-up really of our mitigation, our
4284 recommendation.

4285 So whether that was masking or distancing or --
4286 we didn't -- very divided, really, as a nation in
4287 terms of tackling SARS-CoV-2. So we had community
4288 mitigation measures and recommendations, but because
4289 there was uneven uptake of those community mitigation
4290 measures, I think that certainly contributed. But
4291 also, as we just saw with Omicron, it will probably

4292 be the biggest public health event of my career. So
4293 it was unprecedented times, but -- yeah.

4294 Q Was there anything that the incident
4295 response team more broadly did to prepare for the
4296 winter surge that you haven't already discussed?

4297 A We had intermittently talked about and
4298 been working on in the response, more the stage
4299 prevention, plan, to think through if we were at this
4300 level of community transmission, we could do this.
4301 If we were at this level, we could do this level. It
4302 was sort of a much bigger document that laid out the
4303 -- what our thoughts were.

4304 So we've been sort of -- and pieces of that
4305 made its way into our school guidance, for example,
4306 and other guidance. So we, in the background, had
4307 been trying to pull together kind of the bigger
4308 playbook on the pandemic response. And it just
4309 wasn't time to pull all that together. And we ended
4310 up taking some of that thinking and translating it
4311 into our ongoing guidance. That's what sort of comes
4312 to mind when you say that.

4313 I think we had always wanted to have kind of a
4314 sort of back of our heels a bit, reacting. And we
4315 were hoping to try to get a more -- kind of a much
4316 more comprehensive plan on prevention, reduction of

4317 transmission. And for whatever reason, mostly
4318 because we were overrun by the fall and winter surge,
4319 we were not able to get ahead of it.

4320 But, anyway, we -- yeah, so it the vaccine
4321 piece of this, the planning for distribution, and who
4322 received vaccine was a lot of effort there.

4323 Q Were there any mitigation measures or
4324 other steps that CDC would have liked to take to
4325 prepare for the winter surge, but didn't?

4326 A Yeah, I think that we stumbled a bit in
4327 terms of our ability. I mean, it's really the
4328 communication part was difficult. Getting everyone
4329 to maintain some distance or use masks indoors. I
4330 felt that we had a reasonable strategy for
4331 transmission, and it was more of execution. That and
4332 sort of spotty uptake in our mitigation measures
4333 that, I think, was disappointing.

4334 Q So that's interesting. What was the
4335 difficulty in terms of communication, that that sort
4336 of blunted the transmission that you just referenced?

4337 A Well, we sort of got into this back and
4338 forth around, you know, individual rights versus
4339 community mitigation. And it -- we really felt that
4340 the use of masks, especially in indoor settings among
4341 strangers, would reduce transmission and would

4342 protect people.

4343 And it -- we felt like we couldn't -- our
4344 communication to the American public to protect
4345 yourself, protect each other. It didn't really take
4346 in all the areas of the country. And we would have
4347 loved to have had more testing available, point of
4348 care testing, over-the-counter tests, just more
4349 testing available everywhere to watch transmission.

4350 So I think that was an area that I wish we
4351 could have done more. And so on the communication
4352 side, it really was -- unfortunately, we got into
4353 this sort of battle over one of our mitigation
4354 interventions, especially masks. And then I think
4355 that undermined some of our prevention measures.

4356 Q Can you explain a little more what you
4357 mean that you were in a communication battle over
4358 masks? What you mean by that, and how that sort of
4359 started?

4360 A I mean, I may have mentioned this
4361 before. We didn't -- we went out with the mask
4362 guidance. There was uneven uptake. We didn't have a
4363 lot of political cover from the President embracing
4364 masks. So that caused even more division. We
4365 weren't unified within the U.S. government on the
4366 mitigation measures. So it certainly didn't help.

4367 Q Can you speak more to any barriers that
4368 might have existed to communicating mitigation
4369 measures to the American public?

4370 A Yeah. We -- during that time, we went
4371 from, in previous responses, being -- subject matter
4372 experts being more available for the American public,
4373 or at least taking questions from the media on a
4374 regular basis, to really subject matter experts
4375 weren't as prominent. And we didn't have as many
4376 telebriefings.

4377 I think that was also a challenge. I think our
4378 guidance and the whole pandemic is complex. The
4379 mitigation measures can be confusing. The testing
4380 part can be. So there's opportunities to explain our
4381 guidance in more clear, simple terms, and that's
4382 usually best done by the people who drafted the
4383 guidance to explain it to the American public.

4384 And so I think that we weren't as -- some of
4385 our CDC subject matter experts weren't as -- we
4386 didn't have as many telebriefings as we had had in
4387 previous outbreaks.

4388 Q Did CDC seek to push more messaging on
4389 mitigation, but found that it was prevented from
4390 doing so by any external forces?

4391 A We put our guidance out. We talked

4392 about the guidance a lot. I think it really was a --
4393 I can't say there were external forces. I look back
4394 on it, and think what could we have done differently
4395 to communicate better, to try to -- or done our
4396 studies a bit faster, or with regard to sort of --
4397 done this better.

4398 I think we put our guidance out. We
4399 continually tried to update our guidance, revise our
4400 guidance, make it as simple as possible. It was
4401 challenging, a challenging time.

4402 Q CNN reported in May 2020 that "CDC
4403 officials said they have been muzzled" and that
4404 "their agency's efforts to mount a coordinated
4405 response to the Covid-19 pandemic have been hamstrung
4406 by a White House, whose decisions are driven by
4407 politics rather than science."

4408 Would you agree with that assessment?

4409 A That is a pretty strong statement. I
4410 would say that we think -- or I think it would have
4411 been -- I wish we would have been allowed, or CDC
4412 scientists would have been a bit more visible during
4413 that period of time. I do think that we have
4414 excellent scientists, great communicators, who could
4415 speak to our guidance, and better explain to
4416 reporters and the American people what we knew and

4417 what we didn't know.

4418 And then also try to dispel some of the
4419 inaccuracies that were -- or try to explain really
4420 our recommendations. I do wish that we were able to
4421 do that, or we would have been able to do that more.

4422 Q And from your understanding, why weren't
4423 CDC scientists able to be more visible at that time?

4424 A Well, you know, the COVID Task Force
4425 really took over communication, took the lead,
4426 really, on communication around public health and
4427 recommendations. So CDC was a player with -- in a
4428 larger government structure. And so it wasn't CDC's
4429 time for taking questions. It really was the COVID
4430 Task Force, and leaders within that task force, so --
4431 and I think there's a place for that, for sure. I
4432 just wish we had more opportunity along the way for
4433 the actual scientists to be more visible.

4434 Q And on what topics would it have been
4435 beneficial for CDC scientists to be more visible?

4436 A You know, everything from the role of
4437 asymptomatic transmission, our school guidance,
4438 certainly masking guidance, the -- what we were
4439 learning around the reason to quarantine, reason to
4440 isolate. Trying to explain why we thought people
4441 needed to isolate for seven to ten days, 14.

4442 Differences between isolation and quarantine.

4443 Q You mentioned that if those CDC
4444 scientists had been more visible, it would have been
4445 helpful to dispel certain inaccuracies. What
4446 inaccuracies were you talking about, and where were
4447 they coming from?

4448 A Well, you know, I think this concept
4449 that, you know, no mitigation, just let transmission
4450 happen, and we'll all be okay. I think that was a
4451 dangerous strategy that would cause more
4452 hospitalizations and deaths. And I think it would be
4453 useful to have CDC scientists out talking about the
4454 reasons why we think that's a bad strategy.

4455 I think that conversations around the use of
4456 masks and the risk of masks versus the risk of
4457 SARS-CoV-2 infections. Even the conversation about
4458 long COVID, and what we knew and didn't know about
4459 long COVID, and the potential, even if you had
4460 asymptomatic infection, there was still this risk of
4461 having long COVID. And we didn't really understand
4462 what the long-term impact of that would be. And we
4463 weren't talking about it a lot.

4464 And so I would like to have -- been able to
4465 have that conversation a bit more, that it's not just
4466 because you had a mild infection, you were

4467 asymptomatic, everything's great. Be careful because
4468 we actually don't know what that means, in terms of
4469 long-term. It even might put you at risk for long
4470 COVID or some other longer term medical consequence
4471 that we don't know about right now.

4472 So I think the cautious nature -- that caution
4473 should have been explained a bit more. I guess
4474 that's what I would say.

4475 Q Thank you. While you were incident
4476 manager, were any CDC officials prevented from doing
4477 media appearances that they would have liked to have
4478 performed?

4479 A We were constantly looking for
4480 opportunities to put -- to have a telebrief or put
4481 CDC scientists out front. So there was a new MMWR
4482 coming out, we would propose a new guidance, we would
4483 propose a telebrief just to explain it. And so that
4484 went up through our comms group, and then up to HHS.
4485 A lot of it was denied. So we constantly tried to
4486 see if we could be out front a little bit more.

4487 Q And did that come to fruition? Were you
4488 able to hold more media appearances?

4489 A It was sort of hot and cold. And it
4490 didn't feel like there was a lot of rhyme and reason
4491 to it. So we would pitch an idea and get shut down

4492 two or three times. And then, okay, yeah, you can --
4493 okay, let's brief on that.

4494 So we kept constantly trying to -- Michael, in
4495 particular, kept constantly trying to pitch new
4496 ideas, new guidance, new MMWRs. Let's get out in
4497 front of this, let's explain it. So I think that was
4498 just part of the response.

4499 Q When you say Michael, is that Michael
4500 Beach, your deputy?

4501 A Yeah, Michael Beach.

4502 Q And do you know when he was -- when it
4503 was a cold period? You said it was hot and cold. So
4504 when there was a cold period and media appearances
4505 weren't happening, do you know who was preventing
4506 them from happening?

4507 A Michael could talk to Nina, and Nina
4508 would run it by HHS. And then we would get the no.
4509 So that was usually the way it went. It was really
4510 Michael and Nina asked for a conversation.

4511 Q Are you aware of any policy while you
4512 were incident manager prohibiting media interviews
4513 without HHS clearance?

4514 A Yeah, I didn't -- I don't know of any
4515 policy. I think that we were -- when I became
4516 incident manager, we were -- there was a procedure in

4517 place, where we would -- there would be a
4518 conversation with ASPA. So I don't know what was --
4519 I mean, that policy was already in place.

4520 Q What was that policy?

4521 A Well, the policy, as I understood it,
4522 was CDC would pitch -- we would try to run it
4523 upstairs over to HHS, and see if they were willing to
4524 allow us to have a telebrief, or allow us to have
4525 this event. So that was the simple policy.

4526 Q And that was in place, to your
4527 knowledge, before you started as incident manager in
4528 July?

4529 A That's right.

4530 Q But you don't recall or know when it
4531 first became the policy?

4532 A No, because, you know, it was -- yeah, I
4533 mean, I came on in July, and a lot had happened by
4534 that time.

4535 Q Sure. Do you know who established the
4536 policy?

4537 A I don't.

4538 Q Are you aware of any instance in which a
4539 CDC official participated in a media interview
4540 without getting any type of HHS clearance or
4541 approval?

4542 A I am not.

4543 Q Are you aware of any acts of
4544 intimidation or threats or bullying of CDC employees
4545 by anyone at HHS?

4546 A I mean, Paul Alexander was pretty
4547 aggressive there. I'm not aware of -- I mean, people
4548 with strong personalities, but I'm not aware of
4549 bullying. I remember a lot of spirited
4550 conversations.

4551 Q Are you aware, I guess, similarly, of
4552 any acts of intimidation or threats or bullying of
4553 CDC employees by anyone else outside of CDC or HHS?

4554 A You know, Admiral Giroir -- let me roll
4555 back to your previous -- was a strong personality.
4556 And he could come across as very aggressive. And so
4557 -- and different people, I remember, were offended by
4558 his style. Thinking through your question. But I'm
4559 not aware of any acts of bullying or intimidation.
4560 So I would say no.

4561 Q Is there anything else that you were
4562 working on as part of the coronavirus response in the
4563 months of September to December of 2020 that took up
4564 a significant portion of your time that we haven't
4565 already discussed?

4566 A No, I think we've covered it. That's

4567 all I can remember.

4568 Q What records did CDC keep of what the
4569 incident response team was working on in the fall and
4570 winter of 2020?

4571 A Well, we had the weekly priorities from
4572 that period of time. We have all the IM slides. We
4573 have the incident action reports, the IAP, action
4574 progress reports. So those types of documents that
4575 we have to look at as events evolved.

4576 And then, of course, you know, if you want to
4577 look at how the pandemic evolved over time, we could
4578 just look at our MMWRs, you know, over that whole
4579 period of time, to see what kinds of things we were
4580 working on.

4581 Q Apart from you and Director Redfield,
4582 who else was most involved in the response at that
4583 time, again being September to December 2020?

4584 A Well, I mean, within my team, our core
4585 group was Michael Beach, Christy, Michael's deputy.
4586 And so three of us were the core IM team leadership.
4587 And then there was Dr. Redfield and then Nina. And
4588 then Marty was engaged in a number of the leadership
4589 conveyance -- all the conveyance pieces. So that was
4590 a whole other side.

4591 Dr. Schuchat, of course, was engaged. Jay

4592 Butler and his role as deputy director for infectious
4593 diseases at CDC remained engaged at a high level.
4594 And of course, our -- Sherry Berger. She wasn't
4595 chief of staff, but she was basically chief --
4596 related to budget and management of CDC operations.
4597 So she was also engaged.

4598 Those were the core folks at CDC. And then for
4599 all our work on hospital infections and -- Denise
4600 Cardo was engaged there, and was division director on
4601 Health Quality Assurance. So leadership at CDC.
4602 That's what I remember.

4603 Q Apart from what we've already discussed,
4604 are you aware of any other instances of political
4605 pressure at CDC, including but not limited to
4606 instances of political appointees trying to influence
4607 public communications, guidance, documents, MMWRs,
4608 and other reports, or any other scientific work at
4609 CDC, or instances of retaliation?

4610 A I'm not.

4611 Q Would you agree with me that the fact
4612 that political pressure -- agree with me, excuse me,
4613 that the fact that political pressure was a problem
4614 at CDC last year is not in dispute?

4615 A During this whole period, yes, I would
4616 agree with you.

4617 Q What impact do you feel that had on
4618 CDC's ability to fulfill its mission during the
4619 pandemic?

4620 A Well, you know, it's a distraction for
4621 sure. And it's a hard question, because we need
4622 political support and leadership to get through the
4623 pandemic, and to lead nation through the pandemic.

4624 At the same time, we need the freedom for
4625 scientific inquiry, and publication as science
4626 evolves, and trying to get that out without political
4627 interference. And so I think we need discussion and
4628 briefing, communication between our political
4629 leadership and scientists of CDC.

4630 Also, put in context what CDC scientists are
4631 warning, and at the same time, getting an
4632 understanding from politicians how to view what we're
4633 saying. So there is that dialogue that really has to
4634 happen back and forth. But it's really a --
4635 political -- when political interference gets into
4636 our technical guidance, and into our recommendations,
4637 I think it, as we talked about, can dilute our
4638 recommendations, can undermine our recommendations.
4639 It can make our recommendations seem less strong than
4640 they are. They asked us about our recommendations,
4641 whether they can be believed or not. So it just

4642 makes it less effective at an agency when there's
4643 overt interference in writing our guidance.

4644 Q And I just want to correct one thing,
4645 and I apologize. In my last question, I think I
4646 said, when I was asking whether you agree that there
4647 was political pressure at the CDC, I think I said
4648 last year. And of course, I meant, as you rightly
4649 noted, within the scope being the year of 2020. But
4650 you understood that, right?

4651 A Correct.

4652 Q What do you think can be done to restore
4653 morale and allow CDC to continue to be the great
4654 agency that it has always been?

4655 A It's -- we're still in the middle of the
4656 pandemic. It's been tough for everyone, especially
4657 for the average American citizen, if there is such a
4658 thing. So I think that allowing CDC scientists to
4659 speak to the science, allowing them a standard or
4660 clearance process to proceed without interference.

4661 Of course, continuing to really promote the
4662 importance of public health community mitigation
4663 measures, and the prevention side of our response,
4664 including vaccination and the use of indoor masking,
4665 for example.

4666 So I think it's recognition that there is a

4667 role for public health, community mitigation.
4668 Prevention is extremely -- is a way to prevent a
4669 number of these hospitalizations and deaths. And
4670 holding that up is -- as a priority, versus
4671 questioning the role of measures.

4672 So I think we've -- we can't complain too much.
4673 This is a hard -- it's extremely hard, very tough
4674 response with an evolving virus. And so it's always
4675 helpful if we are synchronized across the federal
4676 government in how we're presenting our
4677 recommendations. As I said before, if we're not in
4678 sync, then it does feel like more outside of CDC,
4679 because whatever we're putting out potentially is
4680 undermined. And then in a very tough pandemic,
4681 public health event, we won't get the support and
4682 leadership that we need.

4683 Q Along those lines, what steps could be
4684 taken to maintain the independence of scientific work
4685 or integrity at CDC?

4686 A Well, I thought we had a pretty good
4687 process by communicating what was -- I think
4688 separating our scientific clearance process from the
4689 communications side, so that CDC scientific clearance
4690 stays within CDC. And then we communicate and we
4691 work with the other agencies to make sure to work on

4692 the communication of our scientific guidance.

4693 So I think we have -- well, we had reasonably
4694 strong processes in place that certainly were
4695 threatened. But, you know, as I said, I felt
4696 scientific integrity remained in place. Certainly
4697 there was an attempt to undermine that.

4698 Q Your last answer may have covered this,
4699 but are there any policies and procedures that you
4700 wish were in place that could have protected CDC from
4701 the political pressure in 2020?

4702 A You know, thanks for the question. I
4703 can't think of anything, off the top of my head. I
4704 mean, I think ensuring the existence of systems that
4705 we have is most important.

4706 [Majority Counsel]. I'm just looking at my
4707 notes here. [Redacted], subject to anything else
4708 that you might have, I think that's it for me. So we
4709 can either go off the record, or if you have
4710 questions and want to hop in now, do that, based on
4711 Dr. Walke, your preference.

4712 [Minority Counsel]. We have no questions.

4713 [Majority Counsel]. I think we can go off the
4714 record, then.

4715 [Whereupon, at 3:38 p.m., the taking of the
4716 instant interview ceased.]

Errata Sheet for the Transcribed Interview of Henry Walke
dated February 18, 2022

Page 13, 289/290. Suggest for clarity change to "...epidemiology program until 2011 that was within the Center for Global Health"

Page 16, 374/375. IDSA, the Infectious Disease Society of America

Page 19, 452. Replace foreign with thorny

Page 21, 497. Admiral Abel from the US Coast Guard was the Admiral

Page 22, 524. Delete "sort of"

Page 22, 531. Delete "sort of"

Page 25, 591. Delete "that"

Page 25, 592/3. Rephrase for clarity, "Amanda was part of clearance in sharing information..."

Page 27, 652. Chuck's last name is Vitek

Page 27, 653. Insert "all sort of *people* on Dr. Birx's staff"

Page 31, 750. 500 to 1000 people

Page 35, 845. Insert "of *management* of great, incredible..."

Page 36, 871/872. Clarify "I was a CDC leader at one base among multiple bases that were engaged"

Page 39, 939. "provide" instead of "provided"

Page 42, 1019. For clarity insert "...two days before *symptoms* were able to transmit."

Page 44, 1066. Change "was" to "were"

Page 47, 1146. Insert "professionals wanted to know *how to protect themselves.*"

Page 58, 1423. Insert "We don't want to *just* drop our guidance, ..."

Page 98, 2436. Delete "I tried to" I think I meant I was trying to remember.

Page 101, 2488. Change to "as sort of waffling, *when* that was added."

Page 119, 2927/28. For clarity, delete "we have very—the genetic epidemiology and sequencing..." replace with "the interpretation of sequencing and mutation rates is a very narrowly defined field"

Page 130, 3213. Delete "the issue occurred immediately." Unclear meaning, next sentence clarifies

Page 134, 3298. Replace “ASPA” with “ASTHO”

Page 136, 3341. Replace “test” with “testing”

Page 136, 3343. Replace “test” with “testing”

Page 169, 4187. Insert “*staff* health was paramount...”

Page 171, 4227. Change “And then the *vaccines were* coming...”

Page 173, 4279. Change to “and we didn’t have *enough* immunity. ...”

Page 174, 4298. Change to “if we were at this level, we could do this *intervention*...”

Page 174, 4312/13. Change to “I think we had always wanted to have a plan but we were sort of back on our heels a bit, reacting.”

Page 176, 4342. Clarify, ... “we felt like we couldn’t effectively communicate to the American public...”

Page 185, 4584. Clarity group was Michael Beach, Athalia Christie, Michaels’s deputy

Page 186, 4593. Change “Sherry” to “Sherri”

Page 187, 4631. Change from “how to view”... “to how *they* view”

Page 189, 4670. Clarity insert “questioning the role of *prevention* measures.”

Page 189, 4677. Insert comma between “like” and “more”.

Page 189, 4678. Delete “because”